

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

THIRTEENTH MEETING

CAMP LEJEUNE COMMUNITY ASSISTANCE

PANEL (CAP) MEETING

JULY 8, 2009

The verbatim transcript of the
Meeting of the Camp Lejeune Community Assistance
Panel held at the ATSDR, Chamblee Building 106,
Conference Room A, Atlanta, Georgia, on July 8,
2009.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING
404/733-6070

C O N T E N T S

July 8, 2009

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS CHRISTOPHER STALLARD	5
CAMP LEJEUNE WEB SITE UPDATE CHRISTIAN SCHEEL	8
RECAP OF LAST MEETING VA REPRESENTATIVE OTHER ISSUES PERRI RUCKART	21
WATER MODELING UPDATE REVIEW OF EXPERT PANEL ACTIONS REQUIRED TO SATISFY EXPERT PANEL RECOMMENDATIONS MORRIS MASLIA	37
NRC REPORT DISCUSSION REVIEW OF SPECIFIC LANGUAGE IN NRC REPORT ON ATSDR'S CURRENT AND PLANNED STUDIES QUESTIONS AND COMMENTS FROM THE CAP DICK CLAPP CHRISTOPHER STALLARD	77
CONTINUE NRC REPORT DISCUSSION CHRISTOPHER STALLARD	170
UPDATES ON HEALTH SURVEY AND MORTALITY STUDY DMDC DATA OTHER ISSUES PERRI RUCKART FRANK BOVE	259
WRAP-UP CHRISTOPHER STALLARD	260
COURT REPORTER'S CERTIFICATE	264

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^" represents inaudible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

P A R T I C I P A N T S

(alphabetically)

ARAL, MUSTAFA, GEORGIA TECH CONTRACTOR
BOVE, FRANK, ATSDR
BRIDGES, SANDRA, CAP, CLNC (via telephone)
BYRON, JEFF, COMMUNITY MEMBER
CIBULAS, WILLIAM, ATSDR
CLAPP, RICHARD, SCD, MPH, PROFESSOR
ENSMINGER, JERRY, COMMUNITY MEMBER
MENARD, ALLEN, COMMUNITY MEMBER (via telephone)
PARTAIN, MIKE, COMMUNITY MEMBER
RUCKART, PERRI, ATSDR
SIMMONS, MARY ANN, NAVY AND MARINE CORPS PUBLIC HEALTH
CENTER
SINKS, TOM, ATSDR
TOWNSEND, TOM (via telephone)
WILLIAMS, SCOTT, USMC

P R O C E E D I N G S

(9:00 a.m.)

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS

MR. STALLARD: Good morning everyone. I am Christopher Stallard, your facilitator. Welcome back. I'd like to start this by going around the table first for introductions for the benefit of the reporter. Please be advised that this is a public meeting. It's being broadcast and streamed on IPTV, I guess it is, and recorded as well. So let's start here, please.

MR. BYRON: Jeff Byron with the CAP.

MR. ENSMINGER: Jerry Ensminger with the CAP.

MR. PARTAIN: Mike Partain with the CAP.

MS. RUCKART: Perri Ruckart, ATSDR.

DR. BOVE: Frank Bove, ATSDR.

MS. SIMMONS: Mary Ann Simmons, Navy-Marine Corps Public Health Center.

DR. CLAPP: Dick Clapp. Dick Clapp with the CAP.

1 **MR. STALLARD:** Thank you. And on the phone
2 we have again --

3 **MR. MENARD (by Telephone):** Allen Menard,
4 CAP.

5 **MR. STALLARD:** All right, thank you
6 everyone.

7 Many of you have seen the agenda. It
8 has a lot to do with what you projected what
9 you wanted for this meeting based on the last
10 meeting. Before we get into that I'd like to
11 take just a few minutes to go over our
12 operating guidelines that keep us working
13 together toward a common goal here.

14 First of all, push to talk and to
15 release, please, on the microphones, the red
16 button will come on when it's active. Turn it
17 off when you've finished. Please in the
18 audience and anyone at the table if you would,
19 turn your cell phones to silent, buzz or
20 something.

21 The audience, welcome, I see many
22 familiar faces. Again, I would like to remind

1 you that you're here to observe unless perhaps
2 invited or called upon by the CAP members to
3 respond to a particular question that they
4 know you may be able to respond to.

5 We like to keep our interactions here
6 with, given the level of frustration and
7 emotion in the topic, personal attacks, we ask
8 that you refrain from those. And that
9 whenever possible we're here to offer
10 constructive solutions and recommendations for
11 ways forward to advance the goal of the CAP.

12 I'd like to announce that Denita
13 McCall, as I'm told, is no longer a member,
14 has resigned, and I'm going to have Jerry make
15 a comment to that in just a moment.

16 Aside from that we're going to get on
17 with the agenda. Jerry has asked for a few
18 moments to address the CAP so we'll do that
19 right now.

20 **MR. ENSMINGER:** Late last evening while I
21 was in my hotel room I got a phone call from
22 Nicholas Ortega. He's Denita's son. Late

1 yesterday afternoon Denita was moved to a
2 hospice center. They've given her 72 hours to
3 live. So that ought to set the tone for this
4 meeting.

5 **MR. STALLARD:** Indeed. Thank you for
6 sharing that, Jerry.

7 **CAMP LEJEUNE WEB SITE UPDATE**

8 Next on the agenda I'd like to invite
9 Christian Scheel, who will make a presentation
10 on the Camp Lejeune website update.

11 **MR. SCHEEL:** Good morning, my name's
12 Christian Scheel, and I'm with the Health
13 Communications Science Office ^ activity, for
14 short the Web Team. And this morning I'm
15 going to give you a really quick briefing on
16 the state of ATSDR's Camp Lejeune website.

17 There are three items that I'd like to
18 touch on this morning, the first being the
19 performance of the website over the last three
20 years or so, the new look and feel of the Camp
21 Lejeune website, and then I'd also like to
22 talk about the next steps we're going to take

1 in improving the usability of the website
2 itself.

3 The performance of the website: This
4 chart here illustrates the number of page use
5 at the Camp Lejeune website has received over
6 the last three years starting in July of 2006.
7 Page use being registered really means when a
8 page is actually rendered in a browser.
9 That's what we count as a page view.

10 So for the last three years starting
11 in July of 2006, going year-to-year, Camp
12 Lejeune's website has registered 110,000,
13 88,000 and 119,000 page views per year over
14 that three year period. I've compared that to
15 the Libby, Montana site, which has the same
16 time period, registered 133,000, 174,000 and
17 134,000 page views over the same time period.

18 The reason that I'm comparing the two
19 is both sites deal with communities that have
20 an issue with exposure and both sites receive
21 a fair amount of media attention. So traffic
22 is being driven to the site oftentimes comes

1 from members outside of the affected community
2 so they're relatively similar in that way.

3 To provide larger context within the
4 ATSDR domain itself, we do have several
5 websites that are upwards of a million-plus
6 page views a year, and those sites are much
7 larger in terms of overall page count and the
8 topic that's being addressed on those sites
9 tends to be more generalized. So the overall,
10 the potential overall audience I think is
11 probably larger so that that has a role in the
12 number of page views those are getting.

13 The actual page views over the three
14 years for both sites, I mean, they're
15 relatively static. There's a valley here in
16 the second year with Camp Lejeune and then a
17 peak with Libby. And the peaks and valleys in
18 the page views are generally attributable to
19 either the release of a report, new content,
20 there's a newsworthy event, something like
21 that that drives traffic externally. But
22 that's just a snapshot of what's going on at

1 this site.

2 This page is just showing you the top
3 five pages on the Camp Lejeune site over the
4 last year in terms of page views. The home
5 page is typically the most popular page. That
6 got about 43,000 page views. The TCE-PCE
7 exposure page got about 9,500 page views, so
8 there's quite a difference. And as you move
9 on down the number drops to about 5,400 with
10 the water contamination summary page.

11 So one of the things we want to do
12 with that information is we want to take a
13 look and see, since we have such a high number
14 of people hitting the home page, which is what
15 we expected, we also wanted to see how they're
16 behaving on the home page and make sure that
17 what they're getting on the home page is
18 actually what they're looking for and also
19 determine whether or not they're getting it
20 and then bailing on the page immediately.

21 Maybe they searched and they got to
22 the page and it wasn't what they found or an

1 immediate look didn't give them what they
2 wanted and so they left. So we need to do
3 some additional analysis on that.

4 So moving to the look and feel of the
5 website, over the last three-plus years we've
6 been using the template that you see in the
7 screen shot here. And as the site has
8 evolved, it's evolved fairly organically.
9 We've just kind of been adding content, you
10 know, kind of piling it on. And through that
11 evolution we've seen areas where we wanted to
12 make some improvements on the interface of the
13 site.

14 And some of those areas were related
15 to -- basically the look and feel, it's dated,
16 and it's not consistent with the more widely-
17 used templates that we've implemented within
18 ATSDR. So that can introduce a bit of
19 confusion with the users when they're jumping
20 from template style to a different template
21 style.

22 One of the other things is is the page

1 template's a fairly narrow page so it tends to
2 push content down below the fold. So the way
3 the home page was designed now we've got a lot
4 of background information, but then new links
5 tended to go to the bottom of the page because
6 it was so long it got pushed below the fold.

7 So it wasn't immediately apparent that
8 there was something new there. And as such,
9 it's difficult to highlight new and important
10 content because the way the template was
11 designed it wasn't kind of an elegant way to
12 make something pop out visually on the site.

13 And then the last thing that we wanted
14 to kind of address was the use of the menus.
15 The menu structure on the site is over on the
16 right versus the more widely-used template
17 which was on the left. So there's another
18 element for potential confusion with users.
19 But it's also a static menu so the further you
20 drill down into the site, you didn't get any
21 contextual placement because you never got
22 sub-menus. That was something that we thought

1 it's a nice to have thing, but it's certainly
2 an improvement.

3 Well, as of yesterday we've
4 implemented the new ATSDR template on the
5 website, and some of the highlights of the
6 website in addition to being, having been
7 extensively tested for usability issues, we've
8 also tested the templates to make sure that
9 they meet all of the Section 508 accessibility
10 standards. So if there's an accessibility
11 issue with a user, that shouldn't be a
12 problem.

13 But it is kind of a more modern look
14 and feel, you know, it's being marketed as a
15 Web 2.0. I don't know if you're familiar with
16 that, but it's a very modern web standard look
17 and feel. It's a wider page so we can pull
18 more content up to the top of the page.

19 And the way the templates are
20 designed, highlighted content was designed
21 into the template, so it's a more elegant way
22 to do it. So an example is here highlighted

1 in this red box. These red boxes are not
2 present on the live site, it's just here to
3 highlight.

4 But for today's CAP meeting it's a way
5 for us to, and it's a little bit more washed
6 out on the screen. But it gives us a way to
7 kind of provide some visual distinctions and
8 to content that we either want to draw
9 attention to or that we feel is important.

10 Another thing is is the way the
11 templates are designed, particularly on the
12 home page, it's designed around modules of
13 information. So the way we've decided to
14 implement the modules here is is we've created
15 these modules, the Public Health Activities
16 box and the Community Resources, as well as
17 the Water Modeling and the Chemicals, those
18 mirror the main landing pages of the website.

19 So with these modules what we've been
20 able to do is, we've been able to pull up
21 links that had previously been kind of hidden
22 on those pages. And we pulled them onto the

1 home page so information that we know is
2 important either through statistical analysis
3 of the website or it's new content, or it's
4 something that we feel's important to
5 highlight, we can pull it up on the home page
6 and give the users, who we know are in that
7 page more than any other page on the site, we
8 give them an opportunity to have direct links
9 to the important content links on the site.

10 One of the other features that we like
11 with the new template is the use of this
12 dynamic menu structure. You might not be able
13 to see it too well over here, but basically
14 what happens is, you know, most websites have
15 subsections. So as you drill down into the
16 subsections, what happens here is it builds
17 the submenu for that section in the main menu.

18 And so what that allows us to do is,
19 when it gives you kind of this visual context
20 about where you are on the site. And it also
21 provides direct links to other like content
22 within the category that you're viewing. So

1 it's a nice usability feature and also gives
2 us some flexibility so that as we pull more
3 content, we make the site deeper, we don't
4 have to change the structure of the menu. We
5 don't have to change the architecture of the
6 site. So that's a feature that we really
7 like.

8 Now, the third item that I wanted to
9 talk about is kind of usability improvements.
10 And the tool that we want to use in the next
11 step is something that's called card sorting.
12 I don't know if you're familiar with card
13 sorting, but what card sorting basically is is
14 you take a list of labels, and then you break
15 that list out into separate categories. And
16 then you give a name to those individual
17 categories.

18 And so what we do is if we get enough
19 people to take a look at this web sorting
20 exercise, and we pick up some trends, what we
21 do is we take that information. We use that
22 to help inform how we apply labels to the

1 website, and how we actually structure the
2 architecture of the site. In the past as
3 we've built up the site, we'll take the best
4 guess or a fairly well-informed guess at what
5 we think the labels should be and what we
6 think the site architecture should be.

7 But up to this point we haven't really
8 solicited user feedback to get a better
9 understanding of what labels make sense to
10 you. What structure makes sense to you. How
11 it is that you think about buckets of
12 information on the site. So that's the
13 purpose of the web sorting exercise -- or the
14 card sorting exercise, excuse me.

15 So what we'll do is the exercise will
16 be conducted online, and we're going to ask
17 for participants from the CAP, participants
18 from the community that aren't members of the
19 CAP, and then from federal employees. Because
20 of some OMB restrictions we can take up to
21 nine participants from the CAP and nine
22 participants from the community. And so

1 that's kind of the big ask here.

2 So coming soon we're going to make an
3 announcement to solicit volunteers to
4 participate in a card sort, and that will be
5 happening later on this summer. And like I
6 said, we're going to use that information to
7 try to make this a better website for
8 individual users.

9 Yes, sir.

10 **MR. BYRON:** This is Jeff Byron. Do you want
11 us to link that also on our websites?

12 **MR. SCHEEL:** We're going to work out the
13 logistics of it. You know, how it is we're
14 going to get volunteers, but I just wanted to
15 put that out there just to kind of give you a
16 heads up that that's coming. And so you can
17 maybe talk it up.

18 And that's really all I have for today
19 if there are any other questions.

20 **MR. STALLARD:** Go ahead, Jeff.

21 **MR. BYRON:** Jeff Byron again, is this, I
22 guess this website, is it modeled after Libby,

1 Montana, or are you doing something similar?

2 **MR. SCHEEL:** No.

3 **MR. BYRON:** I just wondered since you showed
4 a graph.

5 **MR. SCHEEL:** Yeah, I just wanted to show it
6 just to give you some kind of comparative kind
7 of value.

8 **MR. BYRON:** Do you have a site for Libby,
9 Montana also?

10 **MR. SCHEEL:** Yes, we do.

11 **MR. BYRON:** I just wanted to look at it.

12 **MR. STALLARD:** Christian, I have a question.
13 Does the EPA have similar web tools?

14 **MR. SCHEEL:** Yeah, EPA, all the federal
15 government agencies, federal agencies, there's
16 a variety of websites out there. The focus
17 can be different. I mean, there are some
18 sites out there where there's a bit of
19 overlap.

20 Where there are opportunities to
21 cross-link, we'll do that. So we find that if
22 EPA has a link that's contextually relevant

1 and valuable to the Camp Lejeune site, then we
2 can link to that and vice versa.

3 **MS. RUCKART:** We do that for the Camp
4 Lejeune site. We have links to EPA site and
5 the USMC site. It's under the Community
6 Resources. We have links to your website and
7 the STAND website.

8 **MR. STALLARD:** Perri, thanks.

9 Any other questions for Christian?

10 (no response)

11 **MR. STALLARD:** All right then, thank you
12 very much.

13 **MR. SCHEEL:** Thank you.

14 **RECAP OF LAST MEETING**

15 **MR. STALLARD:** Moving right along we're
16 going to have Perri provide us with an update
17 from the previous meeting which has led to the
18 agenda for today.

19 **MS. RUCKART:** I'd just like to start off our
20 current meeting discussing the key points and
21 summary of our last meeting just to orient us.
22 So at our last meeting there was a request

1 from the CAP for the VA to participate in
2 future meetings.

3 And Tom Sinks was present and he
4 suggested that the CAP identify all the issues
5 we'd like the VA to address, and then we'd
6 send the VA a letter. And he also wanted to
7 wait and see if the NRC Report mentions
8 anything about the VA. But we sent the
9 letter. We had some communication with the VA
10 before the NRC report; I'll get to that in a
11 second.

12 And a major thrust was that the CAP
13 would like the VA to attend a CAP meeting and
14 give a presentation and answer questions about
15 the differences in treatment of the veterans
16 who file claims related to Camp Lejeune. And
17 it was also proposed that the VA rep be a
18 subject-matter expert and be able to make
19 decisions.

20 And that the letter that ATSDR sends
21 would also be cc'd to the Senate and House
22 Armed Services Committee and to the DOD. And

1 Dick Clapp also suggested that Han Kang or
2 someone from his group be involved in the
3 mortality study.

4 Now, both Christopher Stallard and
5 Frank Bove sent an e-mail to someone at the VA
6 discussing this request and have not heard
7 back. We gave some time for that person to
8 respond. We didn't get a response so Tom
9 Sinks sent a letter to, I think it was Rear
10 Admiral Dunne, again.

11 That was sent at the end of June
12 asking that the VA come to a meeting,
13 participate in a meeting and be available to
14 talk about these differences in the treatment
15 and the claims. And as of yesterday Tom had
16 not heard back. We've not gotten a reply, so
17 we've not gotten a reply to two e-mails, and
18 we've also not gotten a reply to our letter.

19 **MR. BYRON:** Could we get a copy of these
20 letters or I think we asked for that
21 previously anyway, correspondence? Is that a
22 problem?

1 **MS. RUCKART:** We'll look at that but it
2 shouldn't be because we've said before that we
3 could give copies of letters that we generate.

4 As you all are aware, Bill Cibulas,
5 the Director of DHAC, announced that ATSDR is
6 going to remove the 1997 PHA from our website.
7 In its place will be an explanation of the
8 rationale for why it was removed and reference
9 the ongoing water modeling, dose
10 reconstruction and epi studies, and our
11 commitment to redo that pathway pending
12 completion of those efforts. The document
13 would still be available by request to our
14 records room because the nine other pathways
15 are still valid. But we would alert the VA,
16 the Senate and House Armed Services
17 Committees, NRC, in the interest why we did
18 this and why we did that. And we did have
19 some discussions with the Armed Services
20 Committee.

21 Also at the last meeting Julie Fishman
22 gave a presentation on the NCEH/ATSDR National

1 Conversation on Public Health and Chemical
2 Exposures and answered some questions. As a
3 follow up to that there was a kick-off meeting
4 held at the end of June, and Jerry was present
5 at that meeting.

6 I sent you all a request from the
7 National Conversation Group. They're trying
8 to form some work groups, and I think the
9 deadline is later this month if you want to
10 participate in that work group. So just go
11 back and check your e-mail for how to sign up
12 to possibly participate in those work groups.

13 Also at the last meeting Morris
14 briefed the CAP on the information that he was
15 going to discuss in the subsequent two days of
16 the water modeling expert panel for Hadnot
17 Point and Holcomb Boulevard. He discussed the
18 use of a screening method for Hadnot Point,
19 which he then presented to the panel.

20 He reviewed the recommendations from
21 the Tarawa Terrace Expert Panel meeting that
22 included data discovery, chronology, ground

1 water modeling, data analyses for Hadnot Point
2 and water distribution. And he mentioned that
3 all recommendations from the Tarawa Terrace
4 report were implemented. And that they're
5 posted on our website, you've probably seen
6 that Chapter A has more full details about
7 that.

8 And Frank discussed our draft analysis
9 plan for the study on birth defects and
10 childhood cancers that we're going to present
11 to the expert panel to get their feedback.
12 And I also gave updates on where we were at
13 the time with the health survey and mortality
14 study concerning what approvals we received,
15 our contractor selection process, our
16 presentation at CDC's Division of Cancer
17 Prevention and Control meeting and what we
18 knew at that time about when we could expect
19 the NRC Report.

20 **MR. BYRON:** Question, this is Jeff Byron
21 again. When you alerted the VA, the Senate
22 and House Armed Services Committees, the NRC,

1 you know, concerning the PHA being brought
2 down from Mr. Cibulas, or Dr. Cibulas, what
3 was their response, if any? Especially the
4 NRC's response I'd like to know.

5 **DR. CIBULAS:** Jeff, unfortunately, I don't
6 have any information on the NRC response. So
7 I don't know. Tom will be here later if -- we
8 can ask Tom.

9 But as far as the Armed Services
10 Committee, as you know, we were asked to come
11 up and meet with the Armed Services Committee,
12 and Tom and I did do that. They wanted to
13 understand why we did it. And we explained to
14 them the same logic that I had given the CAP.
15 And I think they were most concerned that they
16 had been aware that this was going to happen,
17 and we talked a little bit about
18 communication, and we had some --

19 **MR. BYRON:** I'm sorry. Why would they be
20 concerned about communication between you and
21 them, when they've taken actually no steps,
22 you know, up until now to be even involved in

1 Camp Lejeune even though they've known about
2 it for years? I don't understand why they --

3 **DR. CIBULAS:** I think it was sort of a
4 continuing theme from our colleagues in the
5 Department of Defense who also felt that they
6 had been made aware that that decision was
7 going to be communicated at the CAP.

8 **MR. ENSMINGER:** Dr. Cibulas, I have a
9 question. ATSDR notified the Department of
10 the Navy, a Brian somebody.

11 **DR. CIBULAS:** Yes, they did.

12 **MR. ENSMINGER:** Wasn't that your, did that
13 fulfill your responsibility to notify the
14 Department of Defense?

15 **DR. CIBULAS:** I don't know if I can really
16 answer that without --

17 **MR. ENSMINGER:** How many damn people you got
18 to notify?

19 **DR. CIBULAS:** I understand.

20 **MR. ENSMINGER:** Or do you got to put a
21 banner up on the outside of the Pentagon?

22 **DR. CIBULAS:** I understand, Jerry. I mean,

1 the way we understood it at the time it was,
2 there was this agreement that we would work
3 through Mr. Harrison, and we had made that
4 contact, and we had provided that information.
5 I think there was concern that it hadn't
6 trickled down to members of the Marine Corps
7 and others. And that was probably the
8 reality.

9 **MR. ENSMINGER:** That's your chain of
10 command, and your communications. You can't
11 help that.

12 **DR. CIBULAS:** Right, right.

13 **MR. ENSMINGER:** That's an internal problem.

14 **DR. CIBULAS:** So anyhow, our opportunity
15 with meeting with the Armed Services Committee
16 we just explained the rationale just as I
17 said. Just as we had done with you back in
18 April. And I don't think that there was
19 really any challenge or concern about why we
20 did it. I mean, the reasonings were cogent
21 and we made the decision just as we had made
22 it with you. And we shared that with them,

1 and that was pretty much it.

2 **MR. BYRON:** One last question, this is Jeff
3 Byron. Were these just, were they actually
4 the senators and congressmen from the Armed
5 Services Committees or were they their --

6 **DR. CIBULAS:** We did not meet with the
7 actual Senate staff.

8 **MR. STALLARD:** Thank you. Any other
9 questions about the recap from the last
10 meeting?

11 (no response)

12 **MR. STALLARD:** Jerry? Anything?

13 **MR. ENSMINGER:** No.

14 **MR. STALLARD:** Morris, we're running ahead
15 of schedule. Would you mind, are you prepared
16 to give us your --

17 **MR. MASLIA:** Sure.

18 **MR. STALLARD:** -- water modeling update?

19 **MR. ENSMINGER:** While he's getting ready, I
20 do have something for Dr. Cibulas, if you
21 would come back up here a moment.

22 **DR. CIBULAS:** I knew you weren't done with

1 me, Jerry.

2 **MR. ENSMINGER:** After the benzene issue came
3 up, which was what ultimately pulled down the
4 Public Health Assessment, I started looking at
5 other issues involved in the Public Health
6 Assessment, especially exposures. And I
7 started looking at all the historical data
8 that was available to the folks in DHAC when
9 they were at Camp Lejeune.

10 Vinyl chloride, in the Public Health
11 Assessment on Table 2, it shows vinyl chloride
12 at three parts per billion, and that was an
13 estimated value. I would like to know how
14 they came up with that because I found two
15 actual samples that showed one was 655 parts
16 per billion of vinyl chloride and another well
17 with 18. And this is in the Hadnot Point
18 system.

19 When you add those two together you
20 come up with 673 parts per billion of vinyl
21 chloride. Now, when I divide that out by ten
22 wells, which is what they say that normally

1 operated ten wells at a time, it comes up to
2 67.3 parts per billion of vinyl chloride. If
3 you divide it by 20 it comes out to 33.65
4 parts per billion. If you divide it by all 35
5 wells in the damn system, it comes out to 19.2
6 parts per billion. So how the hell did they
7 come up with three parts per billion?

8 **DR. CIBULAS:** I obviously don't have an
9 answer.

10 **MR. ENSMINGER:** But see the point that I'm
11 making here is that this NRC committee
12 utilized the data from this Public Health
13 Assessment to write their report, and this
14 data is incorrect. I mean, I'm a layman. I
15 don't even have a college degree, but by god,
16 I can look at facts and figures and figure out
17 that this three parts per billion is not
18 correct. And this report is still resounding
19 and affecting this stuff like this NRC Report.
20 It's incorrect.

21 **DR. CIBULAS:** Hopefully, they had access to
22 all the raw data and information that Morris

1 is currently using now which hopefully would
2 have provided additional information about
3 what we knew about benzene just beyond the
4 Public Health Assessment.

5 **MR. ENSMINGER:** But they didn't. They
6 didn't. They didn't even have the right data
7 for Well 602, which had the benzene in July.
8 It's right on page 256 of their report. And
9 it better not show up in the final copy
10 either.

11 **MR. BYRON:** This is Jeff again. When you
12 look at the Public Health Assessment, you say
13 that it's still up there because of the other
14 nine pathways to exposure.

15 **DR. CIBULAS:** Uh-huh.

16 **MR. BYRON:** It makes you wonder about the
17 other nine pathways. If we started looking at
18 that, how valid is the Public Health
19 Assessment on those? You know? Because it's
20 so flawed where we're concerned, that it
21 should be thrown away totally.

22 **MR. ENSMINGER:** I thought it has.

1 **MR. BYRON:** It wasn't because they could
2 still get it.

3 **MR. ENSMINGER:** Yeah.

4 **MR. STALLARD:** Has it been taken down?

5 **DR. CIBULAS:** It has been taken down from
6 the website, yeah. It has. We did that per
7 our commitment very shortly after the meeting
8 in April, the end of April, yes.

9 **MR. STALLARD:** And, Jerry, let me just so I
10 understand, is anything, are we looking for
11 something else more than taking it down to
12 emphasize the impact that it's had?

13 **MR. ENSMINGER:** No, I just wanted to point
14 out, I mean, I'm wanting to know how they
15 whittled down 673 parts per billion of vinyl
16 chloride down to estimated three. I
17 understand the estimated part because you'd
18 have to, without a water model that would
19 actually break it down and show the actual
20 numbers, you have to estimate. I realize
21 that. But when you take the actual historical
22 data, how the world did these people come up

1 with three?

2 **DR. CIBULAS:** And what I will commit to do
3 is seeing if I can follow up on that a little
4 bit, but I don't have the answer right now,
5 Jerry. I'll see if we can try to retrace that
6 and try and figure out how that was done.

7 **MR. STALLARD:** Thanks.

8 Go ahead, Frank.

9 **DR. BOVE:** It had probably something to do
10 with the cycle in the wells. They do have the
11 vinyl chloride readings in here. February 4th
12 is when they had the high readings of vinyl
13 chloride in --

14 **MR. ENSMINGER:** Six fifty-one.

15 **DR. BOVE:** -- 651, yes. And on February 5th,
16 when they did the sample, they have 429 parts
17 per billion TCE, and other times, a day or
18 two, they had twice as much. So something's
19 going on in terms of well cycling which we're
20 going to have to figure out as part of the
21 modeling effort.

22 But what I think is we ought to find

1 out what the detection limit was for vinyl
2 chloride as the first thing because the limit
3 of detection --

4 **MR. MASLIA:** That's probably in Chapter C of
5 our report.

6 **DR. BOVE:** So we can look that up.

7 **MR. MASLIA:** We list all the detection
8 limits and all the qualifiers and those
9 things.

10 **DR. BOVE:** Probably was a very high
11 detection limit I would think.

12 **MR. ENSMINGER:** What, 655?

13 **DR. BOVE:** No, no, in the distribution
14 system. Anyway, this is something we
15 definitely want to look into. ^ plays a very
16 important ^.

17 **MR. ENSMINGER:** Oh, I see what you mean.

18 **MR. STALLARD:** Did someone just join us,
19 please, on the phone?

20 **MR. TOWNSEND (by Telephone):** Yeah, Tom.

21 **MR. STALLARD:** Hey, Tom, welcome. Is the
22 closed captioner on by chance?

1 **CAPTIONER (by Telephone):** Yes.

2 **MR. STALLARD:** Thank you. I've been
3 informed that we're having some technical
4 difficulties and that neither internal nor
5 external are being able to view. When we take
6 our break, they're going to reboot the system,
7 and if that doesn't work, I'll keep you
8 posted.

9 **WATER MODELING UPDATE**

10 So are we ready to move into Morris'
11 presentation on water modeling?

12 (no response)

13 **MR. STALLARD:** Yes?

14 Thank you, Morris.

15 **MR. MASLIA:** Good morning, I'm going to give
16 you a brief summary on the water modeling
17 expert panel. Some of you were in attendance
18 from the CAP as well as the Marine Corps that
19 took place on April 29th and 30th of this year.
20 And we had about, I think we had 13 panel
21 members ranging in expertise from modeling,
22 hydraulics, geohydrology to also epidemiology

1 as well as uncertainty analyses, parameter
2 estimation. And we have a draft report.

3 Our contractor has prepared a draft
4 report. Due to additional activities and
5 stuff I have not had the time to personally go
6 through each page of the draft report. It's
7 modeled after the expert panel report from
8 2005 that's on the web already. And so what
9 I'm going to report to you this morning is
10 from our Section Six. It's draft so that's
11 why I don't have anything to pass out to you.

12 And go over their broad
13 recommendations. So they basically broke it
14 up into six major areas of recommendations,
15 and this was at the final, for those who did
16 not attend, the final session, I guess hour,
17 hour and a half-long session. We asked each
18 of the panel members to go around and espouse
19 their recommendations on the two days that
20 they had. What was a major concern. What
21 directions the Agency should follow and so
22 forth.

1 Holcomb Boulevard. And we are currently
2 looking at some methods to see if we can
3 reconstruct the well cycling pattern
4 remembering we've got hundreds of wells even
5 going into present day. So we have some
6 information where some wells replaced other
7 wells and stuff like that. So we are pursuing
8 that.

9 **MR. ENSMINGER:** We only had 35 at Hadnot
10 Point.

11 **MR. MASLIA:** But some replace others.

12 **MR. ENSMINGER:** Not hundreds.

13 **MR. MASLIA:** They add up close. One's
14 replaced and one's taken out all the way back
15 to the '40s in other words. At one time
16 you're correct, Jerry, at one particular time.
17 But over the history of that, and we've spent
18 a lot of time putting sort of a chart together
19 although it hadn't been done previously, and
20 it's taken a lot of effort just to account for
21 all the historical wells.

22 **MR. ENSMINGER:** Do you have a diagram of all

1 those historical wells?

2 **MR. MASLIA:** Not with me and not releasable
3 at this time only because it's still a work in
4 progress.

5 **MR. ENSMINGER:** That'd be interesting to
6 see.

7 **MR. MASLIA:** We're working on that. And
8 what that takes is feedback with the water
9 utility guys. On a number of occasions we'll
10 call them up when we have questions about
11 whether they have either additional
12 information for us, or we have a question
13 about how a particular well was put into
14 service or not put into service so that's
15 built into that.

16 Also, they did suggest, as we had
17 thought, in that time period where Holcomb
18 Boulevard was receiving Hadnot Point water, we
19 need to use two separate models. In other
20 words we can't use the Tarawa Terrace approach
21 where all the wells mixed into one treatment
22 plant and then the concentration at the

1 treatment plant is the same as the
2 concentration throughout the distribution
3 system.

4 We did the analysis and proved that
5 was a correct assumption or simplification if
6 you will for Tarawa Terrace. That assumption
7 works for Hadnot Point when there's no
8 interconnection. But when there's
9 interconnection with Hadnot Point, you cannot
10 use that approach.

11 **DR. BOVE:** So you need two models I take it?

12 **MR. MASLIA:** Yeah, you need to look at each,
13 on the distribution side you need to account
14 for how the water's being distributed
15 throughout the pipes individually at Holcomb
16 Boulevard versus Hadnot Point. So that was
17 another recommendation.

18 **MR. PARTAIN:** Morris, question here.
19 Currently, the Hadnot Point wells, are they
20 automated as far as operating? Can they be
21 operated like I believe Holcomb Boulevard
22 during the '80s were?

1 **MR. MASLIA:** Holcomb Boulevard has always
2 been automated.

3 **MR. PARTAIN:** And what about Hadnot Point?

4 **MR. MASLIA:** I believe they say now, I think
5 Hadnot Point is now automated, but it's not
6 the same type of automation that Holcomb
7 Boulevard is. That goes back to their SCADA
8 system. They have a different SCADA system at
9 Hadnot Point than they have at Holcomb
10 Boulevard.

11 **MR. PARTAIN:** Do you know when they became
12 automated?

13 **MR. MASLIA:** No, we probably have that. I
14 don't have that off the top of my head.

15 **MR. PARTAIN:** The reason I'm asking the
16 question is for when you're mentioning well
17 cycling, when you I would imagine having an
18 automated well operating cycle versus a manual
19 operated well cycle, you're going to get
20 different behaviors.

21 **MR. MASLIA:** Not in this case because we've
22 spoken at this at length with the operators,

1 the camp water utility people at Camp Lejeune,
2 and because they had to go out and take a
3 water level measurement when the well was not
4 operating versus when the well was operating,
5 depending on the status of the well, the wells
6 didn't automatically just come on. In other
7 words because they went out and took water
8 level measurements.

9 So it's automated from the standpoint
10 that if it's running, it's running and it'll
11 automatically keep running. But if they had
12 to go out there and take a water level
13 measurement, then they would have to shut it
14 down for 24 hours. So they'd manually shut
15 the well down and go out there and take the ^.

16 And I think what you're probably
17 envisioning is an automated system where if
18 there's a certain amount of demand, then all
19 of a sudden a well turns on automatically.
20 And there's a number of wells that could, and
21 the system optimizes which wells would come
22 on. And that's not how they operated it at

1 Holcomb Boulevard.

2 **MR. ENSMINGER:** At Hadnot Point.

3 **MR. MASLIA:** Either one. It basically was a
4 manual operation.

5 **MR. ENSMINGER:** Well, didn't you say Holcomb
6 was always automated?

7 **MR. MASLIA:** It's automated. It is
8 automated. They can automate it from the
9 control center here. That's automated. It
10 doesn't automatically come on by itself.

11 **MR. ENSMINGER:** It doesn't automatically
12 cycle.

13 **MR. MASLIA:** That's correct. That's
14 correct.

15 Anyway, and there's some other nuances
16 in terms of modeling; we have uncertainty and
17 things like that.

18 Going on to calibration, one of the, I
19 guess the critiques that we got as you read in
20 Chapter A or Chapter C or F of our report, we
21 established some pretty deterministic
22 calibration standards or calibration targets.

1 And we use those really to help us calibrate
2 the models. The panel had no issue with us
3 doing it to help us in calibration, but what
4 they had the issue of is us putting there,
5 publishing them as targets because there are
6 no really established targets.

7 There are procedures to calibrate, and
8 then they probably had a very good point
9 there, and so you can use them to help you
10 calibrate the model. But to set them out
11 there as calibration targets that we met or
12 didn't meet, depending on your point of view,
13 they thought that was probably carrying it
14 beyond where we should have, and I think that
15 they had a good point on that.

16 Also in terms of calibration felt we
17 should spend more effort in getting more
18 information on the model parameters referred
19 to parameter estimation. There's some
20 modeling software and some techniques. And
21 because we have much more information at
22 Hadnot Point, we are, in fact, doing that.

1 That'll help improve many kinds of modeling
2 that we do to see how the parameters behave.

3 In terms of the epidemiological study
4 needs, the panel members concurred that, in
5 fact, the study could be accomplished. In
6 other words our goal, as we did with Tarawa
7 Terrace, was to get monthly values. And the
8 panel did concur that that could be achieved
9 at Hadnot Point and Holcomb Boulevard given
10 some of their other recommendations to be
11 implemented, but that was doable even down to
12 the months.

13 And they also, I think, suggested --
14 this is really for the epi people but I'm just
15 reporting on it -- that perhaps that the epi
16 study be extended beyond in utero and one year
17 to a time of diagnosis. I think that was one
18 of their recommendations. Again, that's more
19 to do with the epi study side than the
20 modeling side.

21 They did think that it would be
22 difficult to model the interconnection between

1 Hadnot Point and Holcomb Boulevard. The issue
2 is the well cycling. And, again, I've
3 indicated that we are addressing that, we
4 intend to address that, as we speak.

5 And they did feel that that period
6 between January '85, the two-week period
7 January '85 to the first week in February,
8 where the fuel contaminated the Holcomb
9 Boulevard distribution system, they felt that
10 could be used to assist in the calibration
11 process of the water distribution model.

12 And, again, you have system-wide
13 contaminant concentration data which is very
14 good in terms of model calibration for that
15 two-week period. The down side is you only
16 had one contaminated well. Every other well
17 was shut down. It was unknown at the time
18 that the well was contaminated. So that does
19 not really give us insight on the well cycling
20 issue.

21 But that was their recommendation is
22 to use it because it is a nice dataset that's

1 not available for any other time period where
2 you have measurements throughout the
3 distribution system.

4 **MR. BYRON:** So what you're saying is that
5 these measurements over that two-week period
6 will calibrate your system accurately enough
7 to determine what was going on throughout the
8 whole system once the other wells were online.

9 **MR. MASLIA:** Not necessarily, it will give
10 us a handle on it. And the reason it will
11 help us to calibrate, the reason it probably,
12 among other reasons, why we cannot say
13 definitively that it's a unique calibration
14 over time is because you only had one well
15 pumping at the time.

16 Even if you had a half dozen wells
17 that would give us more power to the
18 calibration. But because we only had one well
19 pumping, we can -- in water distribution
20 system modeling there's a limited number of
21 parameters which we can adjust, amount of
22 roughness --

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Yes.

MR. WILLIAMS: What do you mean you only had one well pumping?

MR. MASLIA: There's only one contaminated well.

MR. WILLIAMS: Oh, one contaminated, okay.

MR. PARTAIN: But that well was mixed in with the others that were pumping uncontaminated.

MR. MASLIA: Right, but I'm saying you had a limited number of wells pumping under that scenario.

MR. WILLIAMS: But you also have that chart during that time period that shows which wells were on.

MR. MASLIA: Yes.

MR. WILLIAMS: It's not just that you have contaminant data. You also have a chart which shows you exactly which well's pumping which day.

MR. MASLIA: That's correct.

MR. WILLIAMS: So that's ^.

1 **MR. MASLIA:** Well, not according to the
2 panel. And I understand their reasons because
3 that presents only that time period. It's a
4 short time period. So I'm not saying we
5 cannot use it for calibration, but it won't be
6 necessarily the ultimate --

7 **MR. STALLARD:** Scott, would you like to join
8 us at the table?

9 **MR. WILLIAMS:** No.

10 **DR. BOVE:** Do you need to repeat the
11 question maybe?

12 **MR. MASLIA:** The question or the comment was
13 that there is a chart during that time period
14 that has been established that represents that
15 time period of which wells were on and off and
16 may be used for calibration, and he's correct.
17 And my response was that you heard from the
18 panel is that it is good field information,
19 that's that one point in time, and obviously,
20 does not necessarily represent a general
21 historical times of the operating.

22 **MR. BYRON:** The NRC made recommendations

1 concerning the water modeling at Hadnot Point.
2 Is that, how does that compare with the actual
3 recommendations from your expert panel meeting
4 in April?

5 **MR. MASLIA:** It disagrees with it.

6 **MR. BYRON:** It disagrees with it?

7 **MR. MASLIA:** It disagrees with it, yes.

8 **MR. BYRON:** And what...

9 **MR. MASLIA:** Well, I'll just comment on our
10 expert panel said that we could obtain
11 reliable results on monthly time scale. That
12 could be used. The NRC disagrees with that.

13 **MR. BYRON:** Is the NRC the members, the
14 members of the NRC, were they qualified to
15 make that recommendation? I assume that the
16 expert panel that you brought in in April is
17 qualified to make that recommendation.

18 **MR. MASLIA:** I'll say about the expert
19 panel. The expert panel specifically picked
20 people on there with a national or
21 international qualifications or reputations
22 that could speak to not only water modeling,

1 but different aspects of water modeling. For
2 example, uncertainty analysis, parameter
3 estimation, model calibration, fate and
4 transport, water distribution systems,
5 geohydrology.

6 And we did that on purpose because
7 that was the sole focus of our expert panel,
8 as well as epidemiology because we had some
9 interface. I thought, we had 13, I thought it
10 was a very good mix of background and
11 expertise and provided us feedback.

12 I cannot speak to, because I don't
13 know what process they used or whatever, the
14 NRC as to the people that they chose who did
15 as they were conducting their investigation or
16 study -- I'm not sure of the correct term.
17 Their water modeling person or geohydrologist
18 corresponded with us back and forth for either
19 additional information or query, asked us why
20 we did certain things, and we did provide that
21 person with our thoughts on that by e-mail and
22 in writing as well as provided the NRC with

1 all of our published reports and unpublished
2 data like on the DVDs and things like that.

3 **MR. BYRON:** So it would be safe to say that
4 the NRC is kind of a conglomerate of experts
5 in different fields but not necessarily all in
6 water modeling or in --

7 **MR. MASLIA:** They were not all experts in
8 water modeling.

9 **MR. BYRON:** Okay, and then the gentleman
10 that they had corresponding with you
11 concerning water modeling was only one
12 individual --

13 **MR. MASLIA:** Yes.

14 **MR. BYRON:** -- or were there multiples?

15 **MR. MASLIA:** No.

16 **MR. BYRON:** So that one individual's --

17 **MR. MASLIA:** There was one who consistently
18 corresponded with me. They had another person
19 who had some, I guess, experience in water
20 modeling.

21 **MR. BYRON:** And then there were how many
22 individuals on the expert panel meeting in

1 April as far as experts?

2 **MR. MASLIA:** Oh, probably about eight or
3 nine of them I would say. We had 13, so we
4 had three epidemiologists.

5 **DR. BOVE:** And the epidemiologists have
6 experience doing drinking water epidemiologic
7 studies with models, so they also have
8 experience on that side of the fence. Now, on
9 the Committee, certainly Savitz, the Chair,
10 has done work with disinfection byproduct
11 drinking water study and used models in
12 particulate, right, disinfection byproducts.
13 So he has experience in using models in epi
14 studies as well. I don't know about Olshan,
15 but I do know about Savitz.

16 **MR. STALLARD:** I think if I could defer this
17 NRC discussion until the next, after Morris'
18 presentation, Dr. Clapp is going to present
19 somewhat.

20 **MR. MASLIA:** I actually just have two more
21 topics. One was additional data needs, and
22 they just made the recommendation to obtain

1 whatever additional data we needed from the
2 Navy and Marine Corps. We actually have done
3 that.

4 We went up there at the end of May,
5 myself and another staff person spent four
6 days up there going through the library, the
7 vault, the warehouse, wherever the BAH index
8 is housed and requested certain documents and
9 updates on any of the GIS lenders that they
10 have just to be sure that we've got the most
11 updated information. That should be coming to
12 us shortly. They're putting that together for
13 us.

14 And, finally, the timeline, and if you
15 recall we had projected last year, the year
16 before actually, it would be done by December
17 2009, and they concurred that completing the
18 historical reconstruction modeling tasks for
19 Hadnot Point would be, it would be unrealistic
20 to expect it to be done by December 2009, that
21 additional time would be needed. We are in
22 the process of internally redoing the timeline

1 again both in light of the expert panel
2 recommendations on how we should approach the
3 modeling and things of that nature.

4 And that's basically where we are now.
5 We are, just to bring you up to date on Hadnot
6 Point in general, we have internally received
7 the data report from all the data that we have
8 reviewed and compiled some of which, a few of
9 the tables, we presented at the expert panel.
10 And the staff is reviewing that internally.

11 We've separated out now in two, we'll
12 have two reports. One is on the
13 installation/restoration sites and that we
14 have the complete draft under review. We have
15 our process for that is once we complete
16 internal review in the internal staff here,
17 we'll get items that we feel need to be
18 changed or modified.

19 Modify it and then at that point we
20 will send both for Agency clearance as well as
21 a copy to all stakeholders. By all
22 stakeholders we mean a member of the CAP but

1 keep it within CAP's review as well as the
2 Marine Corps contact, the Navy contact, U.S.
3 EPA Region 4. There was somebody else.

4 **MS. RUCKART:** USGS?

5 **MR. MASLIA:** Not USGS, maybe the Dr. Aral at
6 EPA from their Ada, Oklahoma Lab, different
7 from Region 4, who was on our expert panel.
8 And so we'll send it to them just to get
9 feedback on that as well as to any one or two
10 external peer reviewers that we will select to
11 review it. But that's probably what you're
12 thinking, we haven't selected those yet to
13 review that report. And so that will complete
14 that process for that report.

15 We're currently going over a report on
16 the underground storage and above ground
17 storage tank as I speak. And so that is not
18 in the report format.

19 **MR. PARTAIN:** Morris, on the USTs and
20 everything, you said you were doing a report.
21 Are you finding data that you're going to need
22 to re-run the Tarawa Terrace model?

1 **MR. MASLIA:** No.

2 **MR. PARTAIN:** What are you finding with it?

3 **MR. MASLIA:** We will not be re-running the
4 Tarawa Terrace model, and I'm sure there are
5 some UST reports there on Tarawa Terrace. I
6 think I saw one or two of sample data, but it
7 would not impact the Tarawa Terrace models.

8 **MR. PARTAIN:** Why would it not impact the TT
9 models?

10 **MR. MASLIA:** Because I don't believe it
11 would give you any substantial improvement
12 over -- what you need to look at is the goal -
13 - for all the modeling -- was what was the
14 monthly concentration. What was the monthly
15 concentration in terms of PCE or its
16 byproducts at the water treatment plant.
17 That's what the epi study needs.

18 **MR. PARTAIN:** Well, let me ask you --

19 **MR. MASLIA:** Let me finish. In terms of
20 that because one of our recommendations
21 actually from the expert panel, and even the
22 NRC Report, was to go out and gather

1 additional field data. So you're going to go
2 out and spend several millions or tens of
3 millions of dollars to get a handful of data
4 points. And the question is, is that going to
5 significantly reduce that range of
6 concentrations that we came out with in Tarawa
7 Terrace, which is between two and three.

8 In other words if an actual field
9 reading is 50 micrograms per liter, our model
10 says we can come within a range of 25-to-100,
11 a range of two. Basically, that's what the
12 model predicts which is good enough for what
13 the epi study needs. So the question would be
14 then is spending an extra effort and time and
15 recalibrating the model to a handful of
16 points, is that going to significantly change
17 that range. And the answer is no.

18 **MR. BYRON:** So then what you're saying is
19 the actual PCE readings for TT and your water
20 modeling are close enough to what the actual
21 readings were historically to do a credible
22 epidemiological study then?

1 **MR. MASLIA:** Yes. At Tarawa Terrace they're
2 more than close enough.

3 **MR. PARTAIN:** Okay.

4 **MR. MASLIA:** I mean, and I've got -- and
5 that's why I brought this slide here, and I'll
6 just pull it up here.

7 This appeared in Chapter A, and so the
8 blue line is the, you can consider it the
9 average value. That's the initial
10 calibration, and the yellow represents or the
11 band represents the range of values in a
12 probabilistic range. And if you look at those
13 values in the tables posted on the website as
14 well as in the back of Chapter A or Chapter I,
15 which is the probabilistic, you'll see that it
16 ranges around, for this particular set of
17 simulations, around two, a factor of two.
18 You'll also notice I don't have a pointer so
19 I'll just use my hand. But the measured data
20 up here are within the bands, within the
21 yellow bands. They're not outliers way out
22 here or way above. So the data that we have

1 are right there.

2 Now, if we now look -- and I want to
3 pull a different tab -- if we plot this a
4 different way, if you want to look at it, and
5 look at high/low in terms of this right here.
6 Let's just look at this one. That's that same
7 chart I just showed you, but now what I'm
8 plotting is the range versus the simulated
9 value or measured value.

10 You can see right here the pink lines
11 would be your range where you'd like to fall
12 in, but the measured data -- this is at the
13 treatment plant. This is what the epi study
14 needs. This is not a well. This is at the
15 treatment plant -- fall within that range
16 here. And even where we do not have
17 information here, where we only have non-
18 detects, most of our simulated values fall
19 within the non-detect range, whatever that
20 detection limit is.

21 And I would seriously defy anyone to
22 convince me that they could get any closer

1 than that no matter really how much more
2 information you had other than if you had the
3 actual pumping records. Remember, we only had
4 a handful of wells at Tarawa Terrace pumping.
5 Or that that is not sufficiently, the variance
6 is not sufficiently small enough to be used
7 for the epi study.

8 **MR. BYRON:** The reason I bring that up is as
9 a layperson I can see that chart, it looks
10 like it practically mirrors so to me, of
11 course, it looks like that. But as a
12 scientist, to the individuals involved in
13 these type of studies, I just want to make
14 sure that it is clear enough and close enough
15 to be used in a reliable study without someone
16 coming back and saying, you know, like the
17 NRC, oh, that's not close enough, a lot of
18 contradictory statements made by them.

19 **MR. MASLIA:** I will get to that later, but
20 as --

21 **MR. PARTAIN:** I want to go back to the --

22 **MR. MASLIA:** -- for Tarawa Terrace and we

1 did provide that information to the NRC; they
2 had this information. And, in fact, one of
3 the things that we've done with all our
4 reports, we provide the model input sets, the
5 actual data to run the models so that anybody
6 because reproducibility is a key factor in any
7 scientific endeavor. So anybody if they
8 wanted to reproduce it or say they can
9 reproduce it should be able to do that. And
10 the NRC did have that information.

11 **MR. PARTAIN:** The question I had is I was
12 talking more about benzene because the USTs
13 are dealing with fuel products. And my
14 understanding of it there's quite a few USTs
15 in Tarawa Terrace throughout, for example, TT-
16 1 and TT-2 there were one 10,000 gallon
17 storage tank for each of the schools. And at
18 TT-2, that was upgrading it from well TT-23
19 and to an extent maybe TT-26.

20 My question, I mean, I heard what you
21 said about going out and resampling. They've
22 remediated a lot of this so sampling was

1 really not going to be an option because the
2 stuffs already been somewhat remediated.

3 But the question I have is if these
4 tanks throughout, like for example, there's
5 two other tanks I found that are located near
6 TT-23 with the maintenance shop. These tanks
7 are contributing product to the aquifer
8 because they're leaking. Does not the
9 presence of these tanks and the fact that they
10 were leaking create more opportunity for that
11 product to be in the aquifer and be picked up
12 in the wells?

13 **MR. MASLIA:** Maybe in the aquifer and
14 perhaps in the well, but we did not have any
15 sample data at the treatment plant.

16 **MR. PARTAIN:** But unless you're specifically
17 testing for benzene, you're not going to find
18 it.

19 **MR. MASLIA:** Again -

20 **MR. PARTAIN:** Because all through '86 they
21 were looking for benzene, and there's benzene
22 hits popping up anywhere between three, I

1 think the highest I saw was maybe eight or
2 nine parts per billion, but that was after you
3 had wells taken offline, especially TT-23,
4 which was separate.

5 **MR. MASLIA:** At the time that we did Tarawa
6 Terrace, we decided that the, again, we would
7 go after PCE and its derivatives or its
8 byproducts. We would not go after benzene.
9 We do mention benzene in the Chapter E report.

10 **MR. PARTAIN:** That's why I'm asking the
11 questions because it's there.

12 **MR. MASLIA:** Again, we did not model
13 benzene. And, in fact, I think if you wanted
14 to model benzene correctly -- Dr. Aral,
15 correct me -- but we'd had to use an L-NAPL
16 because it floats. Yeah, yeah, you'd have to
17 use --

18 **DR. ARAL:** It depends on the concentration.

19 **MR. MASLIA:** -- depending on the
20 concentration we'd have to go to a much more
21 sophisticated, complex model.

22 **MR. PARTAIN:** Are your write ups going to

1 reflect that so we don't have to battle this
2 down the road saying -

3 **MR. MASLIA:** We are complete with Tarawa
4 Terrace as far as I'm concerned.

5 **MR. PARTAIN:** But I mean, are they --

6 **MR. MASLIA:** We're doing benzene at --

7 **MR. PARTAIN:** Hadnot Point.

8 **MR. MASLIA:** -- Hadnot Point. We're doing
9 benzene at Hadnot Point.

10 **MR. PARTAIN:** What I'm concerned about is I
11 think, especially with the UST issue, I'm
12 concerned that we're leaving on the table a
13 possible benzene issue at Tarawa Terrace as
14 well simply because the fact these tanks, I
15 mean, they're all over the place there.
16 They're leaking, and we -- I shouldn't say we
17 -- I mean ATSDR may have left that issue on
18 the table.

19 And I understand you're not looking
20 for that with the TT model, but that needs to
21 be pointed out if you're not going to assert
22 that the values you found in TT concerning

1 benzene are not going, not accurate because
2 you're not looking for it. You need to
3 reflect that in your report, too, so it
4 doesn't come back a year or two later and --

5 **MR. MASLIA:** All I can say at this point is
6 we are just now going through the UST reports.
7 So I can't tell you how many we have and what
8 hits we found, what we haven't --

9 **MR. PARTAIN:** Well, we've been looking at
10 them for awhile. I mean, there's quite a few
11 USTs in TT, some rather big ones. And you
12 read through them, and they're all leaking. I
13 mean, you read the description of ports when
14 they pulled the tanks out in the '90s, and
15 they're describing free-floating product in
16 and around the tank. So it was in there.
17 Now, can we get to find out how much was in
18 there? That's another question.

19 **MR. BYRON:** But even if, like Mike says,
20 that you mention that it may be sufficient.
21 Because it does, it impacts the victims
22 severely. I think I read, you know, a medical

1 dictionary concerning aplastic anemia, which
2 is what my oldest daughter has, and it said
3 40-to-70 percent of all cases of aplastic
4 anemia were caused by benzene exposure. So, I
5 mean, to me as the victim here and my family
6 as the victim, that's critical especially
7 since she has that disease. And I don't know
8 how many others have been reported, maybe not
9 that many, but --

10 **MR. MASLIA:** All I'll tell you is we did
11 mention benzene in the Chapter E. We did not
12 specifically target, but we did mention the
13 benzene around wells TT-23 and TT-26. So it
14 was not --

15 **MR. PARTAIN:** Well, you gave data points for
16 those, it's not just a mention. You're saying
17 that exposures were at 2-point-whatever parts
18 per billion. I don't know what the totals are
19 but --

20 **MR. MASLIA:** We mention, I think we mention
21 concentrations.

22 **MR. PARTAIN:** Concentrations, so what I'm

1 asking is that language going to be reflective
2 that this is not an accurate --

3 **MR. MASLIA:** I have no plans at this point
4 to go back and modify those chapters of the
5 Tarawa Terrace reports; however, we do still
6 have one particular structure out there,
7 Chapter K's supplemental information. And
8 what I can tell you is I will look at what
9 we're looking at now and if it appears to be,
10 if there's additional information just on
11 benzene, I would see no problem in listing it
12 in the Chapter K report as supplemental
13 information.

14 **MR. STALLARD:** So, Mike, your concern is
15 that it's not left on the table as --

16 **MR. PARTAIN:** Well, the UST issue with TT, I
17 mean, I think we're leaving the benzene with
18 Tarawa Terrace possibly on the table there.

19 Now, let me ask you, Morris, if you
20 guys finish doing your review with the UST
21 reports in Tarawa Terrace, and it looks like
22 there's something going on, would you

1 reconsider going back and trying to do that?

2 **MR. MASLIA:** I wouldn't want to comment on
3 that now because I don't know what's there. I
4 really, really don't.

5 **MR. PARTAIN:** I understand.

6 **MR. MASLIA:** The models at TT, assuming
7 there was sufficient concentrate of benzene in
8 the aquifer, would not be applicable to
9 modeling benzene.

10 **MR. STALLARD:** We've got a few minutes
11 before our break. Are you about done?

12 **MR. MASLIA:** I think that's --

13 **MR. PARTAIN:** I just want to hit you another
14 benzene question but Hadnot Point this time.

15 **MR. MASLIA:** Okay.

16 **MR. PARTAIN:** November 19th, 1985, I'm
17 looking at a handwritten table for the Hadnot
18 Point water treatment plant and the finished
19 water. And they're showing a reading of 2,500
20 parts per billion of benzene, and methyl
21 fluoride at 2,600 parts per billion and a
22 toluene reading of 100 parts per billion. I

1 have not been able to locate the analytical
2 datasheets to support those readings. Do you
3 all have those? Do you know --

4 **MR. MASLIA:** Not for that. I think that's
5 the reading at the WTP. I think it shows an
6 asterisk by it or something like that.

7 **MR. PARTAIN:** Well, not an asterisk, but
8 they wrote not representative which --

9 **MR. MASLIA:** Not representative, and we're
10 just reporting it as that, in other words, in
11 our referring to as Chapter C of the Hadnot
12 Point report series which would be the data
13 report, I have. And in the tables we're just
14 reporting where we obtained the information
15 from, what document.

16 **MR. PARTAIN:** Well, the document attached
17 here says while the periodic readings for
18 benzene are felt to be a quality control
19 problem in sampling and/or laboratory results.
20 I mean, that wasn't the only benzene you had,
21 but I've heard that line before and, you know,
22 with TCE and PCE, but --

1 **MR. MASLIA:** We do not have, to my
2 knowledge, the actual lab analysis.

3 **MR. PARTAIN:** Okay, because I know we have
4 all through '86, and why is this timeframe
5 missing? Do we have an explanation for that?

6 **MR. MASLIA:** I can't answer that.

7 **MR. PARTAIN:** But you said you're noting it,
8 but is it being used in the model, that hit?

9 **MR. MASLIA:** Well, we haven't developed the
10 model yet for -- and we have not, again --

11 **MR. PARTAIN:** Does somebody have trouble
12 with that --

13 **MR. MASLIA:** -- decided on a specific
14 modeling strategy other than to take say the
15 recommendations of the expert panel as to an
16 approach, but we have not necessarily started
17 constructing treatment water plant yet at
18 Tarawa Terrace. What we've done to date, and
19 one of the recommendations of the expert panel
20 ^, is to go back through the data and do some
21 more analysis in terms of statistics and
22 estimation of parameters needed for modeling

1 with the abundance of data that we have.

2 So that's what we're looking at, and I
3 think we presented some of this at the expert
4 panel. For example, concentration with depth,
5 concentration aerially and see if we can
6 determine some modeling scenarios or how to
7 build our model around that using some of this
8 information from the data. So we're just not
9 at the point where we have really done any
10 kind of modeling per se at Hadnot Point.

11 **MR. PARTAIN:** I mean, because what's
12 concerning, I mean, these readings from '85
13 are taken after two's offline. So where's the
14 benzene coming from? I mean, are we dealing
15 with pockets in the deep aquifer that are
16 being randomly sucked up or...

17 **MR. MASLIA:** I don't know.

18 **MR. ENSMINGER:** Well, when you had a 16 foot
19 thick plume.

20 **MR. STALLARD:** Okay, so we're at a point
21 there, thank you for bringing this onto the
22 table, Mike. Clearly, Morris has heard the

1 message.

2 And we thank you, Morris, for your
3 presentation. Let's take a --

4 **MS. RUCKART:** Chris, can you ask if Sandra
5 joined?

6 **MR. STALLARD:** Sandra, are you on the phone?

7 (no response)

8 **MS. RUCKART:** I heard a beep.

9 **MR. STALLARD:** I heard a beep. Is there
10 anyone new on the phone who has joined us who
11 has yet to announce their presence?

12 (no response)

13 **MR. BYRON:** And is the EPA present here
14 today, anyone?

15 **MR. STALLARD:** I don't know. Is anyone here
16 from the EPA?

17 (no response)

18 **MR. STALLARD:** Apparently not.

19 **MR. TOWNSEND (by Telephone):** Chris.

20 **MR. STALLARD:** Yes, Tom.

21 **MR. TOWNSEND (by Telephone):** This is Tom.
22 Is the broadcast, is the TV part fixed yet?

1 **MR. STALLARD:** It should be. We're going to
2 reboot the system, Tom, as soon as we take a
3 break and hopefully it will be back live and
4 visual for you.

5 **MR. TOWNSEND (by Telephone):** Okay, that'd
6 be great.

7 **UNIDENTIFIED SPEAKER (by Telephone):** This
8 is Al with ^. Yes, it is live. I'm looking
9 at it right now.

10 **MR. STALLARD:** Okay.

11 **MS. RUCKART:** Ask if the closed captioner's
12 on.

13 **MR. STALLARD:** Yeah, is the closed captioner
14 on still, please?

15 **CAPTIONER:** Yes, I'm still here.

16 **MR. STALLARD:** Thank you very much.

17 So we have an unidentified beep there.
18 That's all I can say. Let's come back at,
19 please, 25 'til. We will resume then with a
20 discussion of the NRC. Thank you.

21 (Whereupon, a break was taken from 10:19
22 a.m. until 10:40 a.m.)

1 **NRC REPORT DISCUSSION**

2 **MR. STALLARD:** We're going to proceed now
3 with a discussion of the, well, we're going to
4 start here with the contaminated water supply
5 at Camp Lejeune. And Dr. Clapp is going to
6 lead us through a bit of a discussion.

7 **DR. CLAPP:** What's up on the screen is a
8 PowerPoint presentation that was made by, I
9 believe the Chair or perhaps the Chair
10 appointed some members of the NRC Committee,
11 and I got it from Perri. And I thought it was
12 kind of a good way to sort of start this
13 discussion is to see what the people who were
14 reporting back to their sponsor said about
15 what was in the report. And then after that
16 we can open it up to, I have some additional
17 comments.

18 **DR. SINKS:** Dick, it would be helpful to me
19 and maybe to the rest of the members to know
20 if you had any relationship or involvement
21 with this. I understood you may have been
22 part of the peer review. If you would just

1 describe that for us so we understand as
2 you're going through this what your
3 involvement was.

4 **DR. CLAPP:** Sure. I was a peer reviewer. I
5 also presented to this group. I think it was
6 in 2007 as I recall in November by telephone.
7 I wasn't able to, they had been meeting at
8 Camp Lejeune, and it was sort of an open
9 comment period.

10 And I commented at that point as a
11 member of the CAP what I thought was happening
12 and what the health studies would likely do.
13 And at that point said I thought this was an
14 important opportunity to learn some things
15 that shouldn't be missed. That's what I
16 conveyed.

17 I actually was invited to do that by
18 one of the Committee members, Dr. Amy Kyle,
19 who didn't wind up being on the final report.
20 She actually, her father died, and so she
21 withdrew before the final report so you won't
22 see her name on the report. But she's a

1 friend of mine. We've had previous contacts.

2 Then when the draft of this report was
3 available for public comment, or I guess it
4 was circulated for peer review not for public
5 comment, I was asked by Susan Martel at the
6 NRC to be one of the reviewers, and I did.
7 And it was, at that point it was in I think
8 either late December or early January of this
9 year, and I was asked not to discuss it
10 because it was still in draft.

11 So here's the cover, and this is the
12 members. The Chair is a well-known
13 epidemiologist and somebody who I've met over
14 the years and used to be the Chair of
15 Epidemiology at the University of North
16 Carolina School of Public Health, now is at
17 Mount Sinai, and former president of one of
18 the organizations that I'm a member of, the
19 Society for Epidemiologic Research.

20 I won't go through all of these, but
21 there are a couple of other people who are, I
22 would say, environmental epidemiologists. One

1 is Francine Laden, in the middle here, from
2 Harvard University, who I know quite well, and
3 I've lectured in her class about something
4 else, not about Camp Lejeune. And Bruce
5 Lanphear, who used to be at the University of
6 Cincinnati, very well-known pediatric
7 epidemiologist and one of the leading
8 researchers on lead poisoning and its effects
9 on child development.

10 Andrew Olshan is now the current Chair
11 of Epidemiology at the University of North
12 Carolina School of Public Health. John
13 Nuckols, everyone calls him Jay Nuckols, is a
14 well-known fate and transport person at the
15 Colorado State University. He and I are both
16 members of the same organization called
17 International Society for Environmental
18 Epidemiology.

19 So I guess what I'm saying is I know
20 some of these people, and I expected more of
21 them actually. I think what actually came
22 from this was it didn't really reflect

1 epidemiology as we know it, and as I think
2 they know it. So there must have been
3 something else at work or this is a committee
4 so the process... What do they say? A camel
5 is a horse that was designed by a committee.
6 And I think that's what we got here is sort of
7 a camel. I can't resist the editorial
8 comments as I go along here so forgive me for
9 this.

10 So their task, this is what they, sort
11 of a charge, this is what it's usually called,
12 was to review the scientific evidence on the
13 associations between exposure to contaminated
14 drinking water at Camp Lejeune and adverse
15 health effects. That's sort of the overall
16 purpose of this NRC Committee.

17 It was for sub pieces of that, whether
18 there's a statistical association, whether
19 it's causal, strength of the evidence for a
20 causal inference and other scientific
21 considerations. So this is mainly considering
22 the known contaminants that were in the

1 drinking water at Camp Lejeune and whether
2 there are causal associations between those
3 and other health outcomes.

4 And then finally what should be
5 further done or what future studies should be
6 done or what should be done to complete
7 existing studies at Camp Lejeune. So that's
8 sort of the range of the topics of this
9 report. The sponsor as it says is the U.S.
10 Navy.

11 **MR. STALLARD:** What does that mean? Can you
12 explain the sponsor? How does that impact?

13 **DR. CLAPP:** I don't really know how to
14 explain how it impacts. It's the agency that
15 requests, usually the U.S. National Academy of
16 Sciences acts as a consultant to Congress. So
17 often it's a congressperson who asks for the
18 NRC to do some kind of report, and they
19 appropriate the money for it.

20 When it's the Department of the Navy -
21 -

22 Go ahead, Mary Ann.

1 **MS. SIMMONS:** Excuse me. Just so you can
2 know, the Department of the Navy was told by
3 Congress to do this report. So sponsor in
4 this case really means DOD funded it at the
5 request of Congress. It's in specific
6 language.

7 **MR. WILLIAMS:** The Marine Corps funded it.

8 **MS. SIMMONS:** The Marine Corps funded it.

9 Sorry, Scott.

10 **DR. SINKS:** The National Academies do a lot
11 of different reports. I think more frequently
12 they're doing reports for federal agencies or
13 other agencies than for Congress.
14 Occasionally, Congress will write into
15 legislation either authorizing for
16 appropriations directing an agency to provide
17 funding for an issue like this. That's what
18 occurred here. And I believe it was the same
19 language in the same year that directed the
20 Department of Defense to do the health survey.
21 Those two things were done in the same
22 language. It was the authorizing bill in 2006

1 or 2007.

2 **MR. ENSMINGER:** Yeah, who wrote the charge
3 for it?

4 **DR. SINKS:** Usually a negotiated charge
5 between the sponsoring agency and the National
6 Academy. I can tell you we dealt with the
7 academies several times. This isn't one we
8 dealt directly with them. But they are very,
9 very -- let me put it this way. In the same
10 way that we develop our --

11 **MR. STALLARD:** There's a seat right here if
12 you'd like to join us.

13 **DR. SINKS:** In general there is purposely a
14 very significant firewall established between
15 the National Academies and the sponsoring
16 agency in terms of many aspects of their
17 reports or the processes. I think the general
18 thing is to negotiate a statement of task.

19 In other words the sponsoring agency
20 may say this is what we want you to do. The
21 academies will frequently come back and say we
22 hear what you're saying. This is what we're

1 going to do. And that's usually negotiated.
2 But once that's occurred, there's a very
3 hands-off process in terms of anything to do
4 with the selection of the members, how they're
5 doing it, the peer review.

6 Probably as much as we try to do our
7 own expert panels and try to be both open and
8 objective and see that they're done without
9 influence of them, or balance if you will.
10 But the academies are very, very focused on
11 that because their whole reputation is based
12 on that. So they, that's what they do.
13 That's my experience with them.

14 **DR. CLAPP:** I'll give you some of my
15 experiences which is two things, the National
16 Academy as well as the Agent Orange Panels, a
17 series of them actually, where I testified on
18 our results from a mortality study of Vietnam
19 veterans in Massachusetts. And at that point
20 that was the first of the big, thick Agent
21 Orange in the Veteran Reports.

22 It was pretty much as you described it

1 I think. It was in the early 1990s, and it
2 was a pretty broad range of people. The Chair
3 was -- I'm trying to remember the name of the
4 Chair. I knew some of the people who were on
5 it, but I can't remember the Chair at that
6 time.

7 And I think it came out with a very
8 important -- this was a, this was requested by
9 Senator Kerry. And the legislation called the
10 Agent Orange Act of 1991 actually established
11 this process with the National Academy of
12 Sciences. And I think it actually went quite
13 well for several years.

14 And there were these lists of
15 illnesses, cancers mostly but also other
16 illnesses, for which the veterans should be
17 compensated based on the evidence. And they
18 made that recommendation to the VA, and the VA
19 did it. Actually, the VA did even a little
20 more sometimes.

21 The idea of the hour I think was to
22 give the benefit of the doubt to the veteran,

1 that if there is substantial evidence in the
2 scientific literature, say that, and then the
3 government should respect that thing for the
4 sacrifice of the Vietnam veterans, you know,
5 bend over to give them compensation. To me
6 that's precautionary public health, or in this
7 case compensatory public health that is valid.
8 And I participated in it. I actually liked
9 what they came up with.

10 The second one, actually, I did a
11 couple, but the second one was more recent in
12 2005 I think it was, the NRC -- sorry, the
13 National Academy of Sciences assembled a panel
14 to look at the EPA dioxin assessment for about
15 the fifth time. It had been assessed and
16 reassessed and reassessed several times.

17 I've been part of those for the EPA
18 Science Advisory Board. But this one was the
19 National Academy of Sciences and had a quite
20 diverse panel, and it included people with
21 epidemiologic expertise, toxicological
22 expertise, but it really just kind of focused

1 on a very narrow criticism of EPA for the way
2 they calculated their risk beyond a certain
3 quantitative risk assessment. And it didn't
4 advance anything.

5 This was 2005. Nothing's been done as
6 a result of it actually. I testified. I said
7 what I thought about the epidemiologic
8 literature about dioxin said by now, and as
9 far as I can tell that didn't really appear in
10 this final report. It really focused in on
11 this very narrow, and I think, irrelevant
12 criticism of EPA.

13 So there's something to be -- well,
14 let me just say I think that NRC panels vary.
15 It certainly varies on who makes them up and
16 there's some kind of an internal process that
17 happens within the panels. I know several
18 people who've been on these panels. They
19 usually are asked not to say anything about
20 that internal process, not to go public with
21 it.

22 But there have been battles. There

1 was on this dioxin panel, and the result was
2 that the people who I thought had the best
3 view of it didn't get their views incorporated
4 into the final report. I think that happened
5 here, too, with this panel. I'm doing a lot
6 of editorializing here so I could keep doing
7 this and we can talk about it or just go
8 through what they did.

9 **MR. ENSMINGER:** Well, I just wanted to,
10 there were some points brought up when you
11 first started. Number one, I know the
12 Department of the Navy was heavily involved in
13 the writing of the charge to this panel.
14 That's number one. Number two, you were a
15 peer reviewer of this report.

16 **DR. CLAPP:** Yes.

17 **MR. ENSMINGER:** The draft report.

18 **DR. CLAPP:** I was.

19 **MR. ENSMINGER:** Are any of your, did any of
20 your peer review comments show up anywhere in
21 the final report?

22 **DR. CLAPP:** No, they did not. And I also

1 specifically sent them a reference to actually
2 one of Morris' Journal articles published peer
3 reviewed, and that didn't appear in the final
4 report either.

5 **MR. ENSMINGER:** How many people do they
6 normally have peer reviewing a report such as
7 this?

8 **DR. CLAPP:** I don't know.

9 **MR. ENSMINGER:** Three?

10 **DR. CLAPP:** There were probably more than
11 three on this one.

12 **MR. ENSMINGER:** Would you be willing to
13 provide me a copy of your peer review
14 comments?

15 **DR. CLAPP:** Sure.

16 **MR. ENSMINGER:** Thank you.

17 **DR. BOVE:** They actually list the peer
18 reviewers in the preface. So there's several
19 including Dick on the list.

20 **MR. STALLARD:** Dick, a question. Are
21 dissenting perspectives on peer review
22 generally included or not?

1 **DR. CLAPP:** I think that depends on the
2 panel and the composition and even what the
3 Chair wants.

4 **MR. ENSMINGER:** Will the NRC, will the
5 National Academy give us the peer review
6 comments that were submitted for these reports
7 from the rest of them?

8 **DR. CLAPP:** You have to ask them. I don't
9 know how that works.

10 **MR. PARTAIN:** Dick, going back on the
11 charge, there was a similar product by the, I
12 don't know if it was the NRC or the NAS, that
13 was done back in 2003 considering the Gulf
14 War. In looking at that charge there's a lot
15 of similarities. Can you comment on that
16 or...

17 **DR. CLAPP:** Yeah, actually, the charge, it's
18 about the level of evidence and whether
19 there's sufficient evidence of a causal
20 association. Sufficient evidence of an
21 association or the inadequate or limited
22 evidence. And so the top level there,

1 sufficient evidence of a causal association,
2 that's new. That didn't appear in the Gulf
3 War literature reviews. It was really a
4 sufficient evidence of an association.

5 Whether we can say for sure that that chemical
6 caused that particular disease or that mix of
7 chemicals caused that particular disease
8 doesn't matter if it's sufficient evidence of
9 an association.

10 For the purposes of compensating
11 Vietnam veterans, that was the top level.
12 This is a new one. This is saying sufficient
13 evidence of a causal interpretation, which I
14 think is, I don't know that it even still
15 applies to the Vietnam veterans or the Agent
16 Orange ^.

17 But that's like putting the bar
18 higher, and for epidemiologists or scientists
19 in general, it's sort of like beyond a
20 reasonable doubt is the sort of legal
21 equivalent. This is murder. There's no
22 question. This is not a civil complaint.

1 This is murder and this is enough evidence to
2 prove it. That's what the top level now
3 represents.

4 And it's not giving the benefit of the
5 doubt to the victims. That's for sure. And
6 so that's why it's a little curious to me why
7 it appeared in the Gulf War Report as that new
8 level of review. I don't know the history of
9 that. There were some people at the NRC at
10 the time that were involved in this who had
11 previously worked for a company called
12 Exponent, a woman named Mary Paxton (ph) in
13 particular. So I think it would be useful to
14 review her role in that. I believe she's no
15 longer at the NRC, over at the --

16 **MR. ENSMINGER:** EPA.

17 **DR. CLAPP:** No, she, well, she may have been
18 at EPA a long time ago, but she was at
19 Exponent. Anyway, that's sort of an aside,
20 but it's a very high level of, sort of a very
21 high bar to set I think for the level of
22 evidence we're talking about here.

1 **MR. PARTAIN:** I want to ask you, on the
2 higher level. Is that a bar that can be
3 achieved by science or is that something
4 that's --

5 **DR. CLAPP:** It's a judgment. I mean, we
6 were talking earlier about the expert panel
7 and water modeling and then this expert on the
8 NRC Committee. I mean, this is cliché.
9 Experts will disagree. That is definitely
10 true, and that has always been true. And I
11 don't think, there's no bright line that says,
12 okay, everyone is going to agree with this.
13 There will be people who say I agree with
14 that, and others that say I don't, and then
15 the battle goes on. So at some point it
16 becomes a judgment. The agencies have to do
17 that. They have to weigh the evidence and
18 say, well, our best judgment based on who we
19 are and what we know is that we should do
20 this. Maybe other people will say something
21 different, but --

22 **MR. ENSMINGER:** We need to find out who

1 wrote the causal inference in there. That's
2 our mission.

3 **DR. CLAPP:** Yeah, it goes back to the Gulf
4 War.

5 **MR. PARTAIN:** Because it just seems like
6 you're saying the level's been raised to the
7 point that we want a chalk outline of the body
8 on the ground with the gun sitting next to it,
9 with the spent casing, the round sitting next
10 to where the chalk was.

11 And it just seems to me, I mean, from
12 what I understand of science, rarely does
13 science get to that point to be able to spell
14 everything out clearly. But it doesn't mean
15 that what science can do is useful or not
16 useful.

17 **DR. CLAPP:** Well, science is part of the
18 picture clearly. It's not the final judgment.
19 It's part of the picture.

20 **MR. PARTAIN:** But in the report, the way
21 it's constructed though, it's trying to make
22 that, is it not, in your opinion?

1 **DR. CLAPP:** You know, to be honest, I've
2 talked to two people that were on the NRC
3 panel, and then as you know, I wrote this
4 statement that five of us signed. And one of
5 the other people who signed it talked to the
6 Chair, Dr. Savitz, and they actually thought
7 they were doing a favor to you all. That this
8 was to say, look, let's not worry about what
9 the science can or cannot tell us. Somebody,
10 the Department of the Navy or the Department
11 of Defense, should just decide how they're
12 going to compensate people, just go ahead with
13 that and not wait for the science. I don't
14 think that was a favor. I think that was
15 naïve, to be honest.

16 **MR. PARTAIN:** Well, I mean, it may be a
17 favor if you leave out the part that says that
18 you didn't know we were exposed. It was
19 unlikely those exposures led to anything.

20 **DR. CLAPP:** Yeah, I think they're trying to
21 say we don't know. Well, some of the people
22 that I talked to, anyway, said we don't know

1 whether the people who were exposed actually
2 got the disease because of their exposure.
3 But that's not what's important. What's
4 important, the two people I spoke to, is
5 people were exposed, and we said that.

6 And so we, the NRC panel, sort of
7 shifted the focus onto let's deal with the
8 compensation for exposure not that the level
9 of that caused somebody's disease or some
10 study says that caused somebody's disease.
11 That's what they thought was a favor.

12 **MR. PARTAIN:** Because the toxicology part of
13 the report says something completely
14 different.

15 **DR. CLAPP:** The toxicology -- as I said,
16 there was a battle in this panel, and the
17 toxicology part is the weakest part. And
18 it's, I'd say, most off base.

19 **MR. PARTAIN:** How many toxicologists were on
20 the panel? Do you know?

21 **DR. CLAPP:** I think there were two.

22 **MR. ENSMINGER:** I understand that this

1 Janice Yager, who is deeply involved with
2 industry, was the main writer of the
3 toxicological portion of the report.

4 **DR. CLAPP:** That could be. I don't know
5 that particular detail.

6 **DR. SINKS:** Can I just make a suggestion
7 here? I think if you want to hear about the
8 report, it would be great to have Richard go
9 through it. It's really what I really am
10 interested in hearing is this dialogue and the
11 feelings of the CAP members in terms of this
12 report, its implications on you, its
13 implications on us, which is why I really put
14 this down under the agenda was that we really
15 wanted to hear what your thoughts were on it.
16 At the same time I really want, if it's
17 valuable to go through this report that Dick
18 is doing, I think we should go ahead to do
19 that and have that discussion. Because we
20 could interrupt him on any one of these
21 slides. I've seen all the slides. David
22 Savitz presented this to us, and I presume

1 this is the same thing he presented in North
2 Carolina as well. So I leave this up to you,
3 but --

4 **MR. STALLARD:** It's a highly charged topic
5 for one thing. So would you agree to, I
6 guess, hold questions to the end? Is that
7 what you're --

8 **MR. PARTAIN:** Yeah, we both want to bring
9 out the charge issues.

10 **MR. STALLARD:** It was useful clarity, I
11 think, in terms of setting the context for
12 those who might not know how they operate.
13 Thank you.

14 **DR. CLAPP:** So I think we got through the
15 sponsor and how that happens. Tarawa Terrace
16 I think they actually thought that was well
17 done, and it was interesting and all of that,
18 but then they have these big complaints about
19 Hadnot Point because it's so complex.

20 This is just their description of what
21 the reasons for this complexity are: multiple
22 sources, all of the stuff at the bottom. I

1 didn't hear it was presented so I'm sure that
2 Dr. Savitz had something more intelligent to
3 say than what I just said. But at least this
4 is the two topics that he included in his part
5 of the presentation. And, again, the exposure
6 assessment was nearly compiled. Apparently,
7 they had no major issues with the Tarawa
8 Terrace.

9 It was really the Hadnot Point
10 exposure assessment where they felt that it
11 was so complex that it actually couldn't be
12 done in a way that would be useful for an
13 epidemiologic study. And so that comes up
14 later, so let me just go through the four
15 lines of evidence about health outcomes and
16 epidemiologic studies on TCE, PCE and
17 chlorinated solvents.

18 This is one where actually one of my
19 co-signers of our statement had done a review
20 of especially TCE. This is Dr. Dan
21 Wartenberg, and he pointed out that the way
22 this NRC Committee summarized the evidence was

1 weaker than the way another NRC Committee had
2 summarized the same evidence basically in
3 2003. And in particular, there's a table in
4 the IOM Report in 2003 about
5 trichloroethylene, which, or actually, several
6 solvents, which says that in those categories
7 of exposure sufficient evidence of an
8 association exists for mixed solvents, talking
9 about these solvents.

10 And so this report steps back from
11 that and says there's nothing for which
12 there's sufficient evidence of an association
13 in this Camp Lejeune situation. So that's,
14 one wonders how that happened. If they were
15 the same data, they obviously came up with a
16 different conclusion about the strength of the
17 evidence.

18 What else to say here? Toxicology
19 studies on TCE-PCE, I'm not a toxicologist. I
20 didn't pay as much attention when I read the
21 report as to how they summarized that. But
22 I'm told by others there's still plenty of

1 room for argument about how they summarized
2 the toxicological studies, the animal studies
3 on TCE and PCE. I agreed to talk about that a
4 little bit later.

5 And then they reviewed the ongoing and
6 previous epidemiologic studies of the Camp
7 Lejeune population. I think all of this is by
8 way of saying that they did feel that the
9 Tarawa Terrace work was reasonable, and
10 although it says at the bottom some of the
11 codes and models are research tools and not
12 yet widely accepted.

13 Maybe that's true, but I know Morris
14 writes books about this stuff, and those books
15 are used in training people in how to do this
16 work. So maybe they're research tools, but
17 they're state-of-the-art.

18 Actually, as some of you know, I had a
19 doctoral student at Boston University who did
20 her dissertation on Cape Cod and the
21 distribution of PCE in the drinking water
22 pipes on Cape Cod. She's learned from and

1 used Morris's work, and used the same EPA
2 model in her doctoral dissertation. She's now
3 a post-doc at the University of Washington
4 School of Public Health.

5 I sent her this and asked her to take
6 a look at it, and she said, I don't agree with
7 this. I think there's much more to say about
8 especially the ability of the Hadnot Point
9 modeling to be useful for epidemiology than
10 this report says. I just give you that.
11 She's a student of mine. She's somebody who's
12 learned actually these research tools and
13 models that Morris has developed. And so
14 she's a smart young lady, and so that's her
15 take on how conservative this NRC discussion
16 was.

17 And here's the bottom line, I guess,
18 about Hadnot Point was the contamination
19 scenarios are far more complex, as Morris said
20 earlier, as the expert panels have said, as
21 everyone says, so that it's a challenging
22 topic to how to model the Hadnot Point. Dr.

1 Aral presented a simplifying approach at the
2 expert panel of water modeling here in April,
3 which I think might be the way forward. And
4 so I don't know whether the NRC panel was
5 aware of that, but it certainly isn't
6 reflected in what they said.

7 Yeah, so here they say that the
8 estimates for Tarawa Terrace can be used to
9 determine whether people were exposed or
10 unexposed. Actually, they leave it at that as
11 opposed to different levels of exposure. And
12 so that's their recommendation is that people
13 who lived at Tarawa Terrace were exposed and
14 the ones who lived somewhere else or somewhere
15 other than Hadnot Point were not exposed and
16 the epidemiologic analysis could be done on
17 that basis.

18 I think that's actually silly and not
19 worth paying any attention to. It's, you
20 know, way too much effort has been expended
21 and these models, as we just saw with Tarawa
22 Terrace are sufficient actually for an

1 epidemiologic dose-related or exposure-related
2 analysis. And here they do say simpler
3 approaches for Hadnot Point should be used.
4 Again, I don't believe that they incorporated
5 Dr. Aral's thoughts in this report. They
6 weren't represented here at the April meeting.

7 And here's where they give their take
8 on the toxicity of, or the carcinogen of TCE
9 and PCE, and they really think it comes down
10 to a, for cancer anyway, to kidney cancer. I
11 think that's way too limited. There's several
12 other cancers for which there's very strong
13 evidence. And then for these other effects,
14 neural behavior and immunologic effects,
15 again, different groups of scientists will
16 look at this and come up with different
17 emphasis. This is a very limited emphasis in
18 my opinion.

19 The hazard evaluation, again, this is
20 more of the toxicological than risk assessment
21 part of the report. I didn't spend as much
22 time on that as I did on the other parts.

1 There may be other people here in the room
2 that may have something to say about their use
3 of LOAELS, as they call them, in this
4 discussion.

5 So here's the discussion of the
6 strength of the evidence. I think we've
7 already talked about these five categories.
8 They do say on this slide that the 2003 IOM
9 report used as a starting point, they used
10 that as a starting point, and then they
11 updated the literature. Well, if they updated
12 it, they used the update in order to downgrade
13 that previous report. And I don't think that
14 that's the, that's not what the recent
15 literature would lead you to do. Part of the
16 literature review that we've done with
17 colleagues at U-Mass Lowell in Massachusetts,
18 and we looked at this same literature. And
19 actually, when we updated it, we considered
20 this as strengthening evidence, not
21 downgrading it. So that's my take, is that
22 they may have done that. They may have

1 updated the literature but didn't do it
2 properly.

3 And so, yeah, this is the two red
4 lines are limited or suggestive evidence of an
5 association, an inadequate or insufficient
6 evidence of an association, is how they review
7 the strength of the evidence. And as I've
8 said now several times, I think that's too
9 low. I think that at least the second line,
10 the sufficient evidence of an association,
11 should be highlighted here, especially mixed
12 solvents.

13 Because in the IOM 2003 report that's
14 what they said. These are folks who at Camp
15 Lejeune who were exposed to mixed solvents.
16 And then there might be some argument about --
17 well, if benzene was in the discussion here,
18 there would have to be a statement that there
19 is sufficient evidence of a causal association
20 between benzene and leukemia and several other
21 cancers as well. So this summary doesn't
22 include benzene for whatever reason.

1 **DR. BOVE:** Or vinyl chloride.

2 **DR. CLAPP:** Or vinyl chloride.

3 **DR. BOVE:** It's on the appendix but not on
4 the review.

5 **DR. CLAPP:** Both vinyl chloride and benzene
6 are called Group One in the international
7 classification of known human carcinogens. I
8 forget the ^, but those two chemicals gives
9 them the highest. You know, there's a causal
10 association here with cancers of different
11 types.

12 And then here's this long list of
13 limited and suggested evidence of association.
14 I'm not sure quite why -- well, this is their
15 list, and I can't give you the details for why
16 all these things are on there. Some of these
17 I think should be one step higher. This is
18 too boring to read through all of these, so
19 this is their inadequate or insufficient
20 evidence list.

21 Actually, I don't think there's too
22 much argument about these but, well, perhaps

1 bladder cancer should be on the next higher
2 category if I were to review the same
3 literature. Childhood leukemia is on this as
4 inadequate and insufficient evidence to
5 determine. Childhood leukemia is one where
6 this is the Woburn story and the Toms River,
7 New Jersey story where childhood leukemia. It
8 was New Jersey statewide, which Tom and his
9 colleagues did -- sorry, Frank and his
10 colleagues did.

11 Tom did some other work actually where
12 some of these studies are, I think, disputed
13 the level of evidence that Tom's work gives to
14 some of this would put them in higher
15 categories as well. I'm talking about Dr.
16 Sinks.

17 But in any case childhood leukemia,
18 again, that's where those of us that know the
19 Woburn story have lived with it for decades
20 now and have seen the multiple studies that
21 have been done there, and then the animal
22 evidence has come along since. There's no way

1 that childhood leukemia should be in this
2 category. And so I didn't talk to the people
3 who decided this, but in any case it doesn't
4 reflect the science as I know it and as the
5 people that I work with know it.

6 Yeah, on and on it goes. This is way
7 too much to try to cover here. So they asked
8 for, these are getting to the findings and
9 recommendations: focused evaluation on the
10 most directly relevant studies of community
11 solvent contamination. That's what they think
12 is sort of informing their judgment about
13 where to go next. It's these community
14 studies like the Woburn and Toms River
15 studies. And so that's why I think they would
16 say, well, we don't see as much evidence there
17 as if these were workers that were exposed in
18 dry cleaning establishments or something.

19 Yeah, I think here we're just
20 repeating what were on those previous lists.
21 And here they review the previous per dot com^
22 studies that were done by ATSDR and Nancy

1 Sonnenfeld and published previously or
2 reported previously. There is this issue
3 about small for gestational age infants which
4 they say is really from a subgroup analysis in
5 the Sonnenfeld study.

6 There's this larger issue which is
7 there was a misclassification in the
8 Sonnenfeld and these earlier studies because
9 there were people in, what was it, Holcomb
10 Boulevard, that were called not exposed or
11 actually exposed. So that's going to be
12 redone. The Agency has already committed to
13 do that. They even say that in the NRC that
14 that's a good thing to do, and that could be
15 done quickly because the data's already in
16 hand and so forth.

17 But then they have this notice, this
18 point at the bottom, subgroup analysis in the
19 Sonnenfeld study found this SGA, Small for
20 Gestational Age, result. And then they say
21 it's highly vulnerable to chance associations.
22 Some of this is in the eye of the beholder.

1 What's a reasonable subgroup to look at? This
2 was older women. And are children of older
3 aged women at higher risk? The answer is yes
4 in general for lots of things.

5 So this is a subgroup that has clear
6 rationale. It's not a randomly or post hoc
7 subgroup that was created as far as I know.
8 And so in any case I think this is -- I don't
9 know how to put this. This is like a purist
10 approach to epidemiologic analysis.

11 You can't do subgroup. You have to
12 have a main analysis, and you stick with that.
13 Or if you have subgroup analyses, you have to
14 have stated it ahead of time. You can't do it
15 after the fact. I don't even know if that's
16 how this was done with respect to the small
17 for gestational age in the older women.

18 **DR. BOVE:** It was because, as Dick was
19 saying, that older women and those with more,
20 additional miscarriages prior to ^ are more
21 vulnerable to other insults, and that was the
22 hypothesis there. So that was the hypothesis

1 to the subgroup analysis.

2 **MR. ENSMINGER:** But there was a different
3 breakout, too, for the limited number of
4 births they had at the Hospital Point housing
5 area where the Navy doctors lived. I think
6 they cited like 31 births.

7 **DR. BOVE:** That's right.

8 **MR. ENSMINGER:** Those male children that
9 were in that subgroup of 31 children were not
10 born to older mothers. They were born to
11 regular-aged women --

12 **DR. BOVE:** That was a different analysis.

13 **MR. ENSMINGER:** -- and those male children -
14 - that's not on there -- those male children
15 showed a lower birth weight, and they weren't
16 born to older women.

17 **DR. BOVE:** No, no, and that's another
18 subgroup analysis. As for females, there was
19 no small for gestational age females in that
20 group, and so that's something to figure out.
21 I mean, that would be if the problem here is
22 with those 31, those 31 are, there really

1 should be a thousand, not 31.

2 **MR. ENSMINGER:** Yeah, because the four years
3 of data --

4 **DR. BOVE:** So that whole analysis I don't
5 know what to make of because until we do the
6 analysis over again, I don't know, if we do an
7 analysis now with the 1,000 or 1,500 births
8 that are exposed to TCE, we may find something
9 totally different in that case, so I don't
10 know what to make of that.

11 The PCE findings on the other hand
12 have more, I mean, they may change but what
13 Nancy did -- and by the way, Nancy did both.
14 I mean, it's just one study. They call it two
15 analyses, but it's one study. Nancy looked at
16 other periods of time where there wouldn't
17 have been this misclassification of exposure
18 and found roughly similar results.

19 So I don't know how different things
20 will be just by using the exposed-unexposed.
21 But we plan to use the monthly levels that we
22 have in this analysis because it makes sense

1 to do that. But, so we may see something
2 different again with the subgroup analysis.

3 But to get back to what Dick's saying,
4 and I just want to re-emphasize that, yeah,
5 women who are older, women who have had
6 previous problem pregnancies are at a higher
7 risk for small for gestational age. And the
8 hypothesis could be and make plausible sense
9 is that any other additional insult you would
10 possibly see an effect there; whereas, women
11 who don't have the precondition issue, where
12 either they're older, where they have had
13 previous miscarriages may not, an exposure of
14 drinking water may not push them over the
15 edge. But if they already have a
16 precondition, the exposure could push them
17 over the edge. So that's sort of the
18 hypothesis.

19 **DR. CLAPP:** Well, there's a rationale for
20 doing the subgroup analysis, and it's not
21 random or it's not just a chance.

22 **DR. BOVE:** We weren't just data dredging.

1 **DR. CLAPP:** Right, so I think it's a false
2 criticism just to put that on this slide.

3 **MR. STALLARD:** Jeff, go ahead.

4 **MR. BYRON:** Well, what do you classify as an
5 older woman or mother?

6 **DR. BOVE:** Over 30. It was 35 and over at
7 this point.

8 **MR. BYRON:** And how many Marine wives do you
9 think have children after 35? I'll bet the
10 majority of them are well before 35.

11 **DR. BOVE:** The numbers were not large.
12 That's true, but this study had --

13 **MR. BYRON:** How do you know how many of them
14 had miscarriages that weren't documented
15 prior, you know, at a hospital because that
16 could happen at home.

17 **DR. BOVE:** It's based on the birth
18 certificate information. This is what all
19 studies --

20 **MR. BYRON:** On the birth certificate
21 information the mother puts down how many
22 prior miscarriages she has?

1 **DR. BOVE:** It depends on the birth
2 certificate. Some states at this time, by
3 this time, see, the earlier birth certificates
4 -- say the '68, '69 -- states had different
5 birth certificates. As time went on they all
6 used a standard form. And in that standard
7 form they asked for previous miscarriages,
8 previous miscarriages and also previous
9 stillbirths.

10 **MR. BYRON:** And also asked for birth defects
11 which they never listed any of my daughters in
12 the county hospital.

13 **DR. BOVE:** They listed birth defects, and no
14 credible birth defect study uses a birth
15 certificate.

16 **DR. SINKS:** It doesn't ask for previous
17 birth defects. It asks for current birth
18 defects --

19 **DR. BOVE:** Current birth defects.

20 **DR. SINKS:** -- that are apparent at the time
21 of the birth of that child.

22 **DR. BOVE:** Yeah, but even so, you're right.

1 It wouldn't necessarily be on the birth
2 certificate that your child had a birth defect
3 even if your child did have a birth defect.
4 And that's why we don't use birth
5 certificates. We use birth defect registries
6 to do those studies or medical records from a
7 hospital. We do not base, and no birth defect
8 registry bases anything on birth certificates.

9 **DR. SINKS:** Let me just give you an example,
10 Jeff. My daughter has a club foot, born with
11 a club foot. We knew she was going to be born
12 with a club foot. That is clearly on her
13 birth certificate because it's very apparent
14 at birth that she was born with a club foot.
15 Those are the types of things that pretty well
16 will show up on a birth certificate.

17 **DR. BOVE:** Well, neural tube defects won't.

18 **DR. SINKS:** I didn't say neural tube
19 defects. I said a club foot.

20 **DR. BOVE:** But --

21 **DR. SINKS:** Let me finish. Let me finish.
22 The things that are less apparent for birth

1 defects tend to not show up, so heart defects.
2 There clearly are times when obvious birth
3 defects won't show up, but what isn't on a
4 birth certificate is a history of a birth
5 defect in another child. That I don't
6 believe, but the history of the reproductive
7 outcomes for the mother is usually on the
8 birth certificate. Now, how accurate it is is
9 dependent on the woman providing the
10 information.

11 **MR. STALLARD:** Thank you.

12 **DR. CLAPP:** So that's their take on the
13 pregnancy outcome studies. I actually think
14 that they should be redone with the corrected
15 exposure information.

16 This is the reason. Re-analysis of
17 the 1998 study should be completed as soon as
18 possible taking advantage of the new exposure
19 information without delay from more extensive
20 water modeling. Well, that's to their point
21 of just exposed-unexposed is good enough which
22 I don't think is the case.

1 This also applies to the childhood
2 leukemia study, or the childhood cancer study
3 I should say. You know, complete it is what
4 they're saying. It's already underway and
5 might as well get that done.

6 At the bottom again say it should be
7 based on simpler approaches, historical
8 reconstruction of the water contamination.
9 Well, to the extent that Hadnot Point simpler
10 approach will be what Dr. Aral or some version
11 of what Dr. Aral and the expert panel
12 suggested, it probably will be based on a
13 simpler approach, but it will still be based
14 on modeling people and on some level of
15 differentiating who was most exposed from less
16 exposed.

17 The health survey, they comment on and
18 I'm thinking the report -- I don't know if it
19 says it here, but they think the health survey
20 will only be useful if there's a good
21 response. I think we already know that and
22 perhaps Scott will comment later today about

1 what is the response. But in any case that's
2 their, it may or may not be useful was their
3 take, and it all depends on the response.

4 All cause mortality, this is the one
5 that -- well, the mortality study is the study
6 that the initial IOM committee that
7 recommended what kind of research should be
8 done here recommended the mortality study.
9 Dr. Ozanoff was part of that, and he
10 personally thinks that, yes, at least do the
11 mortality study as a bottom line. And this
12 report seems to be unclear on whether or not
13 even that is worth doing.

14 **DR. SINKS:** Dick, just to correct you, it
15 wasn't an IOM committee. It was an expert
16 panel we had brought in to provide us those
17 recommendations. It was not an IOM committee.

18 **DR. CLAPP:** I take it back.

19 **MR. STALLARD:** Dick, whose response were you
20 talking about?

21 **DR. CLAPP:** Yeah, I think, I don't know the
22 language, but I think that there's some sort

1 of skepticism in the NRC report about whether
2 this mortality study even needs to be done.
3 Again, because there's so much uncertainty
4 about the water model and who was exposed and
5 unexposed. And it flows from if you don't
6 think the water model will tell you anything,
7 why do this study.

8 So I hold the position that the water
9 model does tell, will tell quite a lot about
10 at least high exposed, medium exposed, low
11 exposed or some gradation in between. And
12 that because of that, this mortality study is
13 definitely still on the table, should be on
14 the table and should be ^ should carry it
15 forward. Again, I'm switching back between
16 trying to say what the NRC committee report
17 says and what I think, so forgive me if I mix
18 the two sometimes.

19 And here again, I think the cancer
20 incident study, they actually think -- they,
21 the NRC committee, thinks that it would be
22 more difficult to get cooperation from the

1 state cancer registries than the ATSDR has
2 found to be the case. The epidemiology group
3 that was convened here over a year ago
4 included the former president of the
5 Association of Central Cancer Registries. I
6 think it used to be the North American
7 Association of Central Cancer Registries.

8 She knows how all these registries,
9 she was the Director of the New York state
10 cancer registry, Dr. Schymura, and she said,
11 yeah, this is how you do it. It can be done.
12 It may take some time. Different states will
13 deal with it differently, but the cancer
14 incidence work that needs to be done for a
15 Camp Lejeune follow-up study could be done.

16 So I think the NRC committee took a
17 different position that this person, who used
18 to be the head of the Association of Central
19 Cancer Registries. And then in addition there
20 are several other databases available that can
21 be utilized in this kind of work.

22 They commend ATSDR for having

1 considered the major issues in their
2 feasibility study, which I think Frank has
3 presented here. I know Frank has presented
4 here to this panel. Serious questions they
5 claim remain, and here's the adequate state
6 registry participation is uncertain. I don't
7 think it is uncertain. At least certainly not
8 for those states that have been in existence
9 through, from the 1990s, the mid-1990s. So it
10 would be like -- or 1997 I guess it is. So
11 for those states, and that's pretty much all
12 states now, I think participation is pretty
13 certain.

14 Statistical power: Apparently, they
15 didn't either read or believe Frank and
16 Perri's power calculations, but they're there,
17 and they are, there is sufficient power to
18 show substantial findings in a study of,
19 several of these studies, the mortality study
20 and the cancer incidence study. So the NRC
21 panel was apparently unconvinced or disagreed
22 but didn't say why they disagreed with those

1 power calculations.

2 And again, the ability to measure and
3 adjust for potential confounding factors is
4 uncertain. Well, not if you interview, you
5 know, these case-control studies of patients
6 with cancer and then controls, patients of
7 people who do not have cancer. You talk to
8 them; you interview them. And that's how you
9 get information about confounding factors.
10 That's not uncertain. I mean, the quality of
11 the information may vary, and that's always
12 true of these kinds of studies, but there's
13 nothing unique here.

14 Yeah, this is all kind of why it can't
15 be done or why you can't really learn anything
16 from these kinds of sites. So they say new
17 studies should only be undertaken if their
18 feasibility and promise of providing
19 substantially improved knowledge of whether
20 health effects have resulted from water
21 exposure at Camp Lejeune are established in
22 advance.

1 I mean, that's the old, it's their
2 kind of bottom line recommendation. That
3 isn't how science works. I mean, you never
4 have that much certainty before you even do
5 the study, or if you did you wouldn't need to
6 do the study. So this is, I think, a rather
7 strange contradictory recommendation. And
8 feasibility, I think, has been established.
9 And whether it can substantially improve
10 knowledge is a judgment.

11 And again, different scientists will
12 determine what they think that means,
13 substantially improved knowledge. And then to
14 require that that be agreed upon before the
15 study's undertaken strikes me as bizarre
16 actually, not scientific. Something else is
17 going on.

18 And I think not to be mysterious about
19 it, I think what was going on was that their
20 bottom line was that let's shift the focus
21 away from the scientific studies and onto,
22 well, these people were exposed, so let's just

1 get on with helping them and their families
2 for compensating whatever has happened to them
3 because of their exposure without linking the
4 two. That's I think what they're trying to do
5 here, and that's why they're so dismissive of
6 future studies.

7 This is the bottom line. I think this
8 is the last slide. The italics at the bottom
9 says that the efforts to address and resolve
10 concerns with the contamination should not be
11 deferred to await further research.

12 And again, some of you know this was
13 on a radio show on National Public Radio
14 called "Living on Earth" a couple of weekends
15 ago. And Caroline, the woman from the
16 Environmental Defense Fund, said -- I was on
17 it and she was responding saying I think what
18 people don't understand is people were
19 exposed, and we want to say that. And so
20 that's what needs to be dealt with on a policy
21 level. What is the responsibility of the
22 government or the Department of Defense for

1 that exposure, just do that.

2 That was Caroline. She's sort of
3 friendly to the idea that there should be
4 compensation and that that's what needs to
5 happen next, and that we critics didn't
6 understand that's what they were trying to say
7 in this report. But I think the report, I
8 mean, the report is there, but there's a lot
9 of scurrilous stuff in this report as well.
10 And so that's why we said we were disappointed
11 and dismayed when we wrote this, the five of
12 us wrote this statement that we signed.
13 That's it.

14 **MR. STALLARD:** Thank you, Dr. Clapp.

15 We have still more time allotted for
16 this discussion. Clearly, there appears to be
17 some lack of concordance in the scientific
18 community about this report and its
19 implications. We can take some just dialogue
20 and questions here.

21 I can tell you that from what I know
22 the Agency, ATSDR, is not in a position to

1 officially respond to their position on this
2 as they have to establish what that response
3 is going to be. So we can have a dialogue.

4 **DR. SINKS:** Let me just kind of bridge this
5 by saying where we are and letting you know
6 where we are because I think that's important.
7 We are not in a position right now to tell you
8 what we're concluding in terms of how we're
9 going to respond to this.

10 We are taking it (A) seriously. We
11 are looking very much at that report. We are
12 also looking at the other information we've
13 gotten from other expert panels. We're
14 listening to our technical people who've
15 invested many years into this process and have
16 their own considerations. And we also want to
17 hear basically from the CAP and any person who
18 wants to give us, and I've gotten personally
19 several dozen, probably a dozen e-mails from
20 individuals who told me their opinions. And
21 all of that is something that we have to go
22 through.

1 I will tell you this. This is when
2 the National Academy puts out a report, it has
3 to be viewed with an eye of it's an important
4 document. You can't simply trash it. You
5 can't simply just say, hey, I don't agree with
6 you. I'm going to ignore it and move ahead.

7 The other part of this has to do with
8 the reality of funding and the reality of what
9 this means because there are other players at
10 stake besides the CAP and ourselves and the
11 community, and it's Congress, and it's
12 Department of Defense. And we have to
13 recognize that one of the limitations for us
14 is if we were to say simply I'm going to
15 ignore this report. I'm going full bore.

16 If we don't have the resources to go
17 full bore, we don't have a budget that enables
18 us to do that. So we have to look at this in
19 terms of being able to fund the work as well
20 regardless of what we decide we're going to
21 do.

22 I want to make a couple of comments

1 about the report itself because I think to me
2 that there's this kind of language that goes
3 back and forth, and I agree with much of what
4 Dick Clapp said. I personally thought there
5 was a positive piece to this which was their
6 comment that says science is science; policy
7 is policy. Don't wait necessarily for all the
8 science to tell you what the policy is going
9 to be.

10 And I've made that comment before to
11 Jerry and to others which is I've been
12 concerned that holding one's breath and
13 assuming we're going to find a result that you
14 can support will ultimately tell the
15 policymakers how to deal with this problem.
16 Because science is high risk, and one of the
17 issues -- Tom Townsend's on the phone. I've
18 had this discussion with Tom.

19 I can guarantee we will not provide
20 the answer to Tom about what happened to his
21 son in terms of his heart defect because we're
22 not studying heart defects. And if we're

1 going to wait or the Department of Defense is
2 going to wait for our study to tell them how
3 to compensate Tom or Tom's son, it's not going
4 to come from our study because it's not an
5 outcome that we have enough cases to look at.

6 And so personally I felt some relief,
7 if you will, that the committee recognized
8 that there are limitations to science in
9 answering important policy questions. And I
10 like the idea that they were going to look and
11 review the significance of the evidence in
12 terms of the connections.

13 Now, I'm not certain I necessarily
14 agree with how they ranked things, but they
15 did at least make an effort on that. That's
16 there. We'll have to deal with that or the
17 Department of Defense will have to deal with
18 that.

19 But in terms of expectations for what
20 our work is I just want to make sure that
21 everybody understands the expectations of
22 science is we will try to do the best science

1 we can, and we will try to do it in the most
2 credible way. But if people have preconceived
3 conclusions about what the science will show,
4 that's not science. And it makes it very
5 difficult for us because what happens is we
6 win friends and create enemies based on our
7 findings rather than based on the quality of
8 the work. So that's part of this.

9 In terms of process and how we're
10 looking at this our technical people in our
11 divisions are going through the
12 recommendations specifically identifying the
13 critical elements that are in there. We're
14 going to assess those. We're going to make
15 some decisions about how we go forward, and we
16 will share those decisions probably relatively
17 simultaneously with the Department of Defense
18 players, with the members of the CAP and
19 Congressionals.

20 And I do want to, I probably have to
21 reach out to Congress just to understand what
22 they're feeling about it. Because the

1 surprising part of this was the, you know,
2 Congress mandated the health survey. And they
3 have something to play with that, and I don't
4 know where they stand on that, and it's
5 something I'll have to discover.

6 I will tell you that when we saw the
7 congressional language on this we had concerns
8 because we had already had our own expert
9 panel telling us what to do. And so we
10 recognized that there were potential conflicts
11 because, as Dick said, anytime you get a bunch
12 of scientists together, they're very free to
13 have differences of opinions.

14 And we clearly have differences of
15 opinions between past expert panels and this
16 one that we have to sort out and we have to
17 look at them. And just like we would look at
18 an expert panel that we've convened with an
19 open mind, we have to look at this with an
20 open mind, too. And we will do that.

21 I think the goals, at least my goals -
22 - I think I can speak for the Agency -- are

1 two. One is that we do science in the best
2 way that we can. But secondly, that we don't
3 do it without recognizing how we're serving
4 the public we're trying to serve.

5 And this isn't just a question of an
6 academic research exercise where we're
7 applying for a grant through the grant system.
8 There's a reason we do the science. It's a
9 public service that we do the science for. We
10 won't ignore the reality of the community that
11 is invested in this issue as we make our
12 decision.

13 **MR. ENSMINGER:** Dr. Sinks, for you all to do
14 your science, you have to have the right facts
15 and figures going into it which means the
16 water model, Morris and Dr. Aral and Bob Faye.
17 Now, I know for a fact -- it's right here,
18 right here in this report -- this committee
19 didn't even have all the facts.

20 They didn't have the 6 July samples
21 from 1984 for well 602. It's right here in
22 black and white. They show the 30 November

1 sample, 1984, which was really the 5 December
2 sample from 1984. So what did they have, a
3 crystal ball? No, this is just one more
4 example of the crap that we have had to wade
5 through which was being provided by these
6 people to cover their butts.

7 I'm sick of it. We do not need to
8 reward these people for destroying documents
9 and withholding documentation by shutting down
10 this water model. They were required to
11 maintain those documents. There was an
12 Executive Order dated 13 October 1978 which
13 required all federal agencies to fall under
14 all environmental laws. And when CERCLA
15 became enacted, DOD was responsible for
16 falling in line with that, too.

17 Now, there are key documents that are
18 missing which would greatly assist the people
19 doing the water modeling. That's not their
20 fault. That's not the victims' fault. That's
21 DOD's fault, and they were required to
22 maintain them.

1 Now we don't need to reward them by
2 trying to shut down this water model. And
3 that's what they've been trying to do for how
4 long now. How many times have they come in
5 and tried to shut this water model down?

6 Answer me. How many? How many times have
7 they threatened to withhold funding for it?

8 **DR. SINKS:** I can't answer that, Jerry. I
9 will -- when you're saying they, I think
10 you're not necessarily referring to the Agency
11 --

12 **MR. ENSMINGER:** No, we're talking about the
13 Department of Defense or Department of the
14 Navy.

15 **DR. SINKS:** -- the Department of Defense or
16 the Navy or the Marines. I think that -- I'll
17 just make a couple of comments. In my read of
18 the report from the Best Committee I don't
19 think they are looking at a specific, you
20 know, like you've done, a specific result or
21 sampling thing that has drawn them to the
22 conclusion they've made.

1 I think they're looking at the more
2 holistic issue of the uncertainty and the lack
3 of data, which you've also brought up, in
4 making their conclusion. Which I'm not saying
5 I agree with their conclusion, whatever, but I
6 think that's where they were with that.

7 Now, I can tell you that in the last
8 several years that we've been working with the
9 Department of Defense, I think we've
10 established a very good relationship in trying
11 to get information and discover information
12 and, yes, it's extremely complex and going
13 back 20, 30, 40 years to find that data is
14 frustrating to the extreme.

15 But I think that we've been working
16 pretty good at trying to get that and the
17 continued discovery of more information is
18 something that is apparent. Now, I can't tell
19 you why data is missing, and I don't know if
20 anybody can.

21 **MR. ENSMINGER:** Well, it's very selective as
22 to what's missing.

1 **DR. SINKS:** I'd also compliment you guys for
2 being entrenched and helping us to try to
3 discover that because it's been a very
4 valuable role. I also think that all of us
5 have common interest, and I think the common
6 interest here is to serve not just you members
7 of the CAP, but the general community who had
8 been at Camp Lejeune for many years.

9 And if we were to look at all -- if
10 the three of us, if there are three parties
11 here, and it's the community, and it's
12 ourselves and it's the Department of Defense,
13 and if we could all agree or think about this
14 as how are we serving that community and doing
15 the best we can for that community and what
16 are the goals we really need to work at.
17 Well, part of it is research. I agree.

18 But part of it is also this issue that
19 I think the Best Committee has very clearly
20 identified which is let's deal with this
21 issue, and let's identify what those issues
22 are. And we shouldn't ignore, I think, ignore

1 that opportunity. I think that's a very good
2 opportunity. Because I think the reality is
3 we can go ahead and do our research. We're
4 not going answer every question you --

5 **MR. ENSMINGER:** I'm not asking for you to
6 answer every question. I'm asking you to go
7 forward with the research that's underway now,
8 and let's find out the damn truth about the
9 water model. What the hell was in our water?
10 And let them go forward.

11 **DR. SINKS:** And let me also say this. I
12 think there are reasons to look at this water
13 modeling beyond just its application to the
14 epi, which is to provide as good information
15 as we can as to what the exposures were. How
16 we incorporate it into the epi or not is one
17 piece of it.

18 But the information it gives us about
19 who was exposed and how much they were exposed
20 to is not something that the Academy report
21 actually dealt with. They did put in a
22 recommendation in there about -- it wasn't

1 directed to us. It was directed to DOD --
2 about creating a database of all of the
3 information on exposure and making that
4 available. And I think the water modeling may
5 have some specific piece to that.

6 So there are lots of ways we can look
7 at this, and lots of ways we can cut this. I
8 kind of think the opportunity in front of all
9 of us is to ask ourselves what are the
10 important things we really want to come out of
11 this both from you as individuals representing
12 the community from DOD and from ourselves and
13 all of us align ourselves toward those common
14 goals and see what we can do to work towards
15 it. Now --

16 **MR. ENSMINGER:** Wait, wait, wait. You made
17 a statement awhile ago about DOD has been more
18 forthcoming in providing you with the
19 information y'all needed over the last few
20 years. Dr. Sinks, I got letters from you guys
21 that were written to the Marine Corps
22 complaining that you weren't getting your

1 documentation.

2 Hell, Bob Faye just found out here not
3 long ago that there was an electronic portal
4 that had never been provided to the ^ when
5 they were working on the water modeling for
6 the underground storage tanks program. I
7 mean, how can you sit there and say these
8 people have been cooperative?

9 **DR. SINKS:** Again, Jerry, I think there's a
10 difference -- and this is my point of view,
11 and you can agree with it or disagree with it
12 --

13 **MR. ENSMINGER:** I do disagree.

14 **DR. SINKS:** -- I can't run from you. But I
15 think there is -- and Mike knows this very
16 well I'm sure because you've been doing a lot
17 of the investigation on this -- it is
18 extremely difficult to go back in time
19 historically to look for records that were
20 never intended for the purpose we're trying to
21 collect them for. And I used to --

22 **MR. ENSMINGER:** Why do you think CERCLA told

1 them to keep them?

2 **DR. SINKS:** Let me just say, I used to do a
3 lot of occupational health studies where I
4 would have to go back into personnel records
5 and things like that to look for them. And
6 that was easy compared to this, and it's a
7 complex situation. Now, it's complexity and
8 the fact that we can't, that some of the
9 information may be missing is a reality, but
10 it doesn't mean there's an intentional purpose
11 of blocking us from getting it.

12 And we have sent letters, and we have
13 clearly stated our needs, and we have at times
14 had some frustration in the past. But I'm
15 saying in terms of what we are trying to get I
16 think we're getting. The fact that we
17 discovered that portal is, in fact, a great
18 example of here's something to look at, and
19 our people looking and finding information
20 that we thought was relevant.

21 **MR. ENSMINGER:** That was found out by
22 accident. They didn't volunteer it.

1 **MR. BYRON:** And can I say another thing?
2 This is Jeff Byron. Each one of these reports
3 that come out has been flawed, every single
4 one of them because the data has not been
5 provided by them. The information that has
6 been gathered for ATSDR has been done by these
7 two guys at my left to correct all those
8 reports, every single one of them.

9 As you see my shirt it says that I
10 don't trust the government, and there's a
11 reason. Because between the words you're
12 saying to me I'm kind of hearing like maybe
13 these studies won't be done. And that's
14 exactly what I'm getting. All you with the
15 government I do believe you're speaking with a
16 forked tongue.

17 **MR. PARTAIN:** And on that note, Dr. Sinks,
18 did not the expert water panel review meeting
19 back in April, did they not conclude that the
20 Hadnot Point water model was relevant, that it
21 would be useful? And are the people who
22 reviewed these, the data, were they not

1 qualified scientists?

2 **DR. SINKS:** Yeah, and like I've said we very
3 much are going to look at that report. We'll
4 look at the other reports. You know, the
5 other expert panel that we, one of the first
6 things that I did when I got involved with
7 Camp Lejeune was look at the series of letters
8 we'd gotten from Marines and from Congress
9 repeatedly saying to us why are you only
10 studying this in a small number of birth
11 defects.

12 There are other, many other things,
13 and we repeatedly at that time were sending
14 responses which was the same old response
15 which is this is what we think we need to do.
16 And the first time I met with a congressional
17 staff on that we made a decision with them
18 that we would open this decision up beyond our
19 walls and seek outside expert opinion.

20 That expert opinion -- and Dick has
21 referred to it -- basically said to us you
22 ought to look at doing at least the total

1 mortality and a cancer incidence study, and we
2 can't really address the others. Now, I'll
3 tell you, we could not provide them the
4 detailed information they needed to tell us if
5 it was feasible because we then spent, and
6 Frank then spent, a couple years gathering
7 that information to look at the feasibility.
8 That was one of the reasons because we had
9 gotten that report that when we saw the
10 language written into the authorizing bill
11 about a National Academy report we were
12 concerned. Because we were concerned that we
13 were already on a course, we'd already gotten
14 expert opinion. To get additional expert
15 opinion which could, in fact, say something
16 different --

17 **MR. PARTAIN:** Did you try to interact with
18 the, once you saw that language in the bill?

19 **DR. SINKS:** We did. We did.

20 **MR. STALLARD:** Could I please intervene
21 here? I'd like to know, help me understand.
22 What's the practical impact of this report?

1 How is that going to impact what we're doing?

2 **DR. SINKS:** Well, I think the practical
3 input is we can't just simply say I'm going to
4 ignore it. We have to look at it. We're
5 going to look at it very carefully. We very
6 much want your opinions on it.

7 You know, Jerry, what you've just said
8 is very important. We're trying to, this
9 isn't just science. This is service, and we
10 know that.

11 **MR. PARTAIN:** There's about a ^ of Marines
12 and their families that need to know this.
13 They have a right to know what they were
14 exposed to.

15 **DR. SINKS:** That's right, and we're going to
16 look at that. We're going to, like I said,
17 we're going to look at the opinions of our
18 technical people. We're going to look at the
19 previous expert panels. We're going to look
20 at this report. We're going to draw some
21 conclusions from it. We're going hear what
22 you have to say. At some point we probably

1 need to hear what Congress has to say.

2 And we're going to move forward with
3 a, this is how we're going to react to it.
4 I'm just not prepared at this time to tell
5 you. And I also very much wanted to have this
6 meeting and have this discussion as part of
7 our deliberation in terms of what we're going
8 to do.

9 **MR. ENSMINGER:** Well, let me ask this one
10 question.

11 **DR. SINKS:** Go ahead, Jerry.

12 **MR. ENSMINGER:** You say that you've had your
13 experts already make comments about this thing
14 like the people with the water modeling and
15 Frank and Perri. They've already reviewed
16 this report and made their comments?

17 **DR. SINKS:** No, I didn't say that. I said
18 that we have had other expert panels looking
19 at these same issues, not the toxicology
20 issue, but the other issues that what are we
21 doing on future studies; what about the water
22 modeling. And they've come up with very

1 different conclusions than this panel. And
2 we're going to look at those comments as well
3 and sort through them. There are some
4 critical issues that the Academy specifically
5 looks at in their conclusions. We have to
6 look at them. And I think that, you know, all
7 I'm saying to you is we have to be, we have to
8 be open minded to any critique that we get,
9 and we will be. But we're not just going to
10 look at the Academy report and say, well,
11 there's the damn answer. That's what we're
12 going to do. That's not what we're saying.

13 Jeff, in terms of your comment to me,
14 you know, it's fine to make a general
15 statement, and that's fine. But if you think
16 I am not delivering on what I say, please tell
17 me because I try to deliver on what I say, and
18 I think I've built a reasonable reputation
19 with you guys. And I think I've been fairly
20 up front with you and told you my opinion.
21 And if you think I'm not being, let me know
22 personally.

1 **MR. BYRON:** Well, I think you're setting the
2 stage for letting us down is what I think that
3 conversation was. And the reason I say this
4 is because how can you take a report from
5 these individuals as being that serious if
6 they don't even respond to Dr. Clapp's
7 comments? This is the same thing that went on
8 with the GAO report I got to review before
9 that. No matter what comment I made, they
10 still wrote that report the same way with the
11 bias of the Marine Corps' special interests
12 and need.

13 **MR. PARTAIN:** And what I don't understand is
14 how one report can create such a crisis in
15 confidence in ATSDR. It's, just hearing, what
16 you're saying today, I just get the impression
17 that ATSDR has turned into an amorphous mass
18 of emotional Jello quivering on a plate. Get
19 some backbone and say something. Your
20 scientists have been working on this for
21 years. You guys know what you're doing,
22 right?

1 **DR. SINKS:** Mike, what I'm saying to you is
2 we are going to say something when we are
3 prepared to say it. I'm not saying we're
4 going to turn into an amorphous bowl of Jello
5 quivering on a plate and maybe at a warm
6 temperature, and I love the analogy. What I'm
7 also saying to you is at this point in time
8 I'm not going to declare what that is. We're
9 going to base that on the best evaluation of
10 that report that we can. And, you know, --

11 **MR. ENSMINGER:** Have you got your review
12 comments from your people in this agency and
13 the people that are working on this, on the
14 Camp Lejeune thing?

15 **DR. SINKS:** I --

16 **MR. ENSMINGER:** Have they made their
17 comments? I'm asking you a question. Have
18 these people submitted their review comments
19 to you about this NRC report?

20 **DR. SINKS:** The technical people have been
21 writing a lot of information --

22 **MR. ENSMINGER:** I'm asking you a direct

1 question.

2 **DR. SINKS:** I actually don't know because I
3 actually was --

4 **MR. ENSMINGER:** Dr. Aral, have you submitted
5 a review of this report?

6 **MR. STALLARD:** Hold on here. Let me ask a
7 different question, Jerry.

8 What timeframe are we talking about
9 before we can expect a probable response?

10 **DR. SINKS:** Well, it could be relatively
11 soon, and it may not. I really don't know.
12 It's probably in the period of a couple of
13 months. If I gave myself the outside, it's
14 probably a couple of months. The shorter term
15 would be a couple of weeks, but it's probably
16 within then.

17 **MR. STALLARD:** In the meantime work
18 continues on in the direction that this has
19 been going thus far?

20 **DR. SINKS:** Yes.

21 **MR. ENSMINGER:** And, Dr. Sinks, you sat
22 there and said that you have never, ever given

1 us a reason to doubt your --

2 **DR. SINKS:** I didn't quite say that. Jerry,
3 you are welcome to doubt me any time you wish.

4 **MR. ENSMINGER:** Well, you went up in front
5 of a congressional committee and sat there and
6 was asked the question had the Department of
7 the Navy or United States Marine Corps done
8 anything to hinder your work at Camp Lejeune
9 or delay it. You sat there and said no. And
10 I know damn well that's not the truth. Why
11 did you do that?

12 **DR. SINKS:** Jerry, I don't even recall that.

13 **MR. ENSMINGER:** I do.

14 **DR. SINKS:** You were there. I don't even
15 recall that. But let me say this.

16 Mike, this is a time of crisis, and
17 this is a time of crisis for you folks in
18 terms of your concern about how we will
19 respond to this. I understand that.

20 And, Jeff, you are totally correct to
21 have concerns and about that because this
22 report is saying something totally different

1 than what we have heard before. And we
2 recognize that.

3 **MR. PARTAIN:** We've already had two North
4 Carolina senators, United States senators,
5 come out and reject the report. They made
6 press releases, too.

7 **DR. SINKS:** So all I'm saying to you is we
8 are going to look at this report, and we're
9 going to look at it, and we're going to judge
10 it --

11 **MR. ENSMINGER:** How long?

12 **DR. SINKS:** -- and we're going to make a
13 decision. I think we just said. It's going
14 to be between a couple of weeks and a couple
15 of months.

16 **MR. BYRON:** Can I say one other thing?

17 **DR. SINKS:** Yes.

18 **MR. BYRON:** When this research committee was
19 brought to bear, do you want to know what my
20 opinion was before ever the report ever came
21 out?

22 **DR. SINKS:** I'd love it.

1 **MR. BYRON:** Is that they would be used to
2 cancel this CAP and further studies. Do you
3 know why I thought that? Just for the fact it
4 says National Research Council. That's like
5 the Government Accountability Office. Do you
6 get my meaning? I don't trust these people
7 because number one, are they going to risk
8 their paycheck? Do you think that I would
9 want to start over at 52 years old?

10 Most of these people I think are going
11 to go with the flow, and somebody will have
12 editorial privileges at the very end, and I
13 think they're going to be the same people that
14 wrote the GAO report and the same kind of
15 people that wrote this report.

16 What I can't understand is that they
17 don't want to acknowledge that there's
18 scientific evidence to prove that these
19 people, you know, their illnesses are caused
20 by this, but yet they say go ahead and
21 compensate them before the science. Do you
22 know why it came to this? It's not to get

1 compensation because that'll be done in
2 another avenue. That'll be Congress. That'll
3 be the lawyers that are involved, because they
4 have plenty.

5 The reason I'm here is to advance
6 science so that they don't do it again to my
7 fellow Marines or any other service member or
8 any American, and for that matter, any person
9 of any other nationality. They take care of
10 the people ^. I notice that now they want to
11 give healthcare to those in Montana, Libby,
12 Montana, and even the CDC Director and EPA
13 Director have both come out and said what a
14 tragedy, and we should give these people
15 healthcare. What stance do they have on Camp
16 Lejeune? I'd like to hear Secretary Sebelius'
17 opinion of Camp Lejeune.

18 And by the way, you already know for
19 TT what the situation is, but you refuse to
20 say until the report is done. Now, were these
21 kids' health effects caused by the water? I
22 drank 200 parts per billion every day for two

1 and a half years at TT. I don't know what we
2 got at Midway Park because first off, they
3 said we weren't exposed.

4 Then my friends here found that we
5 were exposed. Who's doing the investigating
6 for all these different reports? Because
7 they're not investigating. They're taking the
8 bull crap that they say and writing it out
9 just as if they wrote it for them. And I'm
10 kind of tired of it. Like it says on the back
11 of my shirt, talk is cheap. Let's see some
12 action.

13 So you need to go back and tell them,
14 no, the mortality, the cancer incidence is
15 going to go on. And then we're going to find
16 the real facts. What is the national
17 comparison to the individuals at Camp Lejeune?
18 And I suspect it'll be the same as what the in
19 utero results have been. And I'd still like
20 to know what's going on with TT. I'd like to
21 know what's your opinion of are those
22 children's illnesses caused by the water they

1 drank or not.

2 **DR. SINKS:** Jeff, what's your opinion of the
3 health survey? So there are three components.

4 **MR. BYRON:** I think the health survey needs
5 to go on. I think the veterans need to write
6 down what their issues are. Then you need to
7 go ahead and do your scientific, put the
8 confounders in there just like you're, you
9 know. Tell us what it is.

10 **MR. ENSMINGER:** Take a look at this way.

11 **MR. BYRON:** I know what the results would
12 be.

13 **MR. ENSMINGER:** We've discovered 17
14 confirmed cases of male breast cancer. That
15 was just through some newspaper articles.
16 What the hell do you think this survey would
17 uncover? I mean, that's 17, and most of them
18 are in Florida and a few sprinkled up around
19 Michigan and other areas.

20 **MR. PARTAIN:** Until I got involved no one
21 was even looking at it.

22 **MR. ENSMINGER:** What do you think this, how

1 many more do you think we're going to find
2 throughout the entire nation? All these
3 people have one thing in common, Camp Lejeune.
4 I mean, it is imperative that this goes
5 forward. It is imperative that the water
6 model go forward.

7 **MR. PARTAIN:** And one thing on that story
8 that Jerry's referring to, going at the story
9 we had ^ that I had found throughout the past
10 year and a half, the article which was in the
11 St. Pete Times has generated, according to the
12 reporter, he's gotten flurries of phone calls,
13 over 100-and-something e-mails. People just
14 didn't know what had happened. They were like
15 what do you mean Camp Lejeune was
16 contaminated, and they have no idea.

17 And that is the point of the water
18 modeling because these people need to know
19 what happened to them, and when it happened to
20 them. And to sit there and pull the stakes
21 and fold your tents and close camp because of
22 one report that has some very questionable

1 science in it. Even one of the comments says
2 the science concerning the LOAELS was
3 irrational.

4 And I just don't, like I said, going
5 back to my question, I don't understand the
6 confidence in crisis. You guys, they've been
7 working on it, and it was, you guys have been
8 working on this issue for years. ATSDR should
9 be the expert, and you have a hodgepodge
10 committee of scientists who come in on a
11 questionable charge written for the most part
12 by the Department of the Navy. Kind of like
13 the wolf guarding the sheep. And all of a
14 sudden you're standing on quicksand. I just
15 don't understand that.

16 **MR. ENSMINGER:** How many water modeling
17 experts were here on the 29th and 30th?

18 **DR. SINKS:** You know, again, --

19 **MR. ENSMINGER:** No, how many experts?

20 **DR. SINKS:** -- let me just say. I don't,
21 where I don't want to be is in an issue of
22 competing expert panels or competing opinions

1 or --

2 **MR. ENSMINGER:** I'm not asking you to do
3 that.

4 **DR. SINKS:** -- let me say. I don't want to
5 be in a position of saying, oh, the National
6 Academy of Sciences is a trash organization.
7 It is credible --

8 **MR. ENSMINGER:** It is?

9 **DR. SINKS:** -- credible. It's highly
10 credible. And Dick won't disagree with me.
11 The issue is the individuals on that panel and
12 how they came up with whatever sausage they
13 came up with. It's not an issue of the
14 National Academy. Now, we have --

15 **MR. ENSMINGER:** Their conflict of interest
16 policy stinks.

17 **DR. SINKS:** -- well, we have to look at that
18 sausage and pull it apart and take a look at
19 it and come up with a respectable position.
20 And it's not an issue of confidence. It is an
21 issue of some delay. And let me tell you,
22 every time we have an expert panel, if it's

1 our own expert panel, we have been delayed.
2 So we just had one on the water modeling. We
3 said, fine, we will have a panel on the water
4 modeling, and it will delay us because they're
5 saying go forward, do more. It's a very
6 different thing.

7 **MR. ENSMINGER:** So I asked you, I asked you,
8 how many water modeling experts were here on
9 the 29th and 30th? How many --

10 **DR. SINKS:** ^ answer. There certainly were
11 more on that because it was --

12 **MR. ENSMINGER:** Eight, ten, what? What was
13 it? How many? Morris? How many expert water
14 modeling experts were on this damn NAS panel?

15 **DR. SINKS:** But if you want to look at the
16 strengths --

17 **MR. ENSMINGER:** One?

18 **DR. SINKS:** -- Jerry, if you want to look at
19 the strengths and limitations of the various
20 expert panels, you can identify strengths and
21 limitations for both. Our expert panel met
22 for two days. They were given documentation,

1 I don't know, a couple weeks before they met,
2 and that was it.

3 This panel met for two years --

4 **MR. ENSMINGER:** No, no.

5 **DR. SINKS:** -- this panel was put together
6 for two years. This panel had a peer review
7 process. Now, I don't know what comments they
8 got. We don't have a -- ours, by the way,
9 didn't give us consensus opinions. They gave
10 us individual opinions, is the difference
11 between a FACA committee and this type of
12 thing. There are strengths and limitations to
13 both.

14 You can't just select one because you
15 like what they say and say I'm going to select
16 that one. And we're not going to just say I
17 like this one. I don't like this one. We're
18 going to pull this apart. We're going to take
19 a look at this, and we're going to be
20 confident in what we move forward with and
21 hopefully you'll like it. But I'm not going
22 to guarantee you you'll like it.

1 Now, the other thing that I'm saying
2 to you is I think there's something important
3 and valuable in that report that I think the
4 committee thought was important and valuable
5 in that report. And that has to do with
6 there's something here other than the science,
7 and that's the policy. And I think there's an
8 opportunity for the community to be involved
9 in that policy and get yourself involved in
10 it.

11 And I would recommend it because I
12 think there, I know epi fairly well. I'm an
13 epidemiologist. It's a blunt tool. Jeff,
14 it's never going to provide you all the
15 information you want. I wish it would. I've
16 been saying to you I have concerns for some
17 time. Our study power on the childhood cancer
18 and birth defects one is very limited.

19 And, you know, it's high risk to just
20 say let's wait and see what that study's
21 telling us and then make decisions on the
22 basis of that study which, by the way, won't

1 address Tom Townsend's main concern. We
2 shouldn't put all our eggs in that basket.
3 And this report is providing some opportunity
4 not to put those eggs in that basket.

5 It'll be up to you if you want to
6 embrace that part of it and ask yourselves how
7 can I make something positive out of this.
8 That's not a message going to us. I think
9 that's a message going to the Department of
10 Defense and you. And while it, the
11 difficulty, and I would agree with Jeff. The
12 difficulty with that is their assessment of
13 the toxicology. And I think that is
14 problematic.

15 If they had come up with a different
16 assessment of the toxicology that said, oh, by
17 the way, there's sufficient causal evidence on
18 all of these things so let's move ahead, I
19 think you would have been extremely pleased,
20 but, unfortunately, that's not what they came
21 up with.

22 **MR. STALLARD:** When we return, we will take

1 this up. We've got plenty of time. We're
2 ahead of schedule. The emotions are high,
3 take a breath. Get a bite to eat.

4 **DR. SINKS:** Except from one o'clock to two
5 I've got another --

6 **MR. STALLARD:** So are you asking to stay
7 here for --

8 **DR. SINKS:** If you could, if there's
9 something. I mean, I want to go on with the
10 conversation, but if there's -- I have a one
11 o'clock.

12 **MS. RUCKART:** That's fine, but then there
13 are people who are watching this live, and we
14 can't stream from 12 to one, so I leave that
15 to --

16 **MR. ENSMINGER:** Well, I just have one point.

17 **DR. SINKS:** Are you going to wrap up at two,
18 at 2:30.

19 **MR. STALLARD:** Okay, make your point and
20 then we'll go.

21 **MR. ENSMINGER:** I have one point. You've
22 got these people coming out and recommending,

1 oh, well, let's go on and move this thing
2 toward closure. But they're also recommending
3 you shut down every other damn study that
4 you've got going forward.

5 **MR. BYRON:** That's the point.

6 **MR. ENSMINGER:** And that's bull.

7 **DR. SINKS:** That's not my recommendation.

8 **MR. ENSMINGER:** Well, no, but you're a
9 public health service agency. You have a
10 responsibility not just to me or Jeff or Mike
11 or Tom Townsend or any of the other people
12 involved at Camp Lejeune. We have a damn
13 obligation to public health to carry this
14 stuff forward and find out what we can, not
15 just for us. This is for public health. And
16 if you drop this stuff, you're turning your
17 back on public health.

18 **MR. BYRON:** And real quick -- this is Jeff
19 again. I'm not looking for a pat hand or a
20 report that proves my family's case or anybody
21 else's for that matter. I'm looking for
22 credible science. But what totally infuriates

1 me is the millions and millions of dollars
2 spent on these studies, and then we find out
3 they're invalid because they weren't provided
4 the information that they needed during the
5 timeframe.

6 Then what bothers me even more is the
7 fact that they're going to spend a billion
8 dollars cleaning up Camp Lejeune to 2018, and
9 then you have the nerve to tell me that my
10 family's health experiences aren't caused by
11 that but you'll spend a billion dollars to
12 clean it up. If there's no problem at Camp
13 Lejeune with the health of the public, what
14 the hell are you doing spending a billion
15 dollars?

16 **MR. ENSMINGER:** More than that.

17 **MR. PARTAIN:** And the big question is --

18 **MR. BYRON:** It'll end up being two billion.

19 **MR. STALLARD:** This is the time to --

20 **MR. PARTAIN:** I know. One last thing and
21 I'm done. The big question is what will be
22 left unanswered by shutting down these studies

1 would be what happened at Camp Lejeune.

2 **MR. STALLARD:** And I think when we come back
3 from lunch, we're going to talk about an
4 appropriate response from the CAP about this
5 report.

6 Yeah, Tom, we're going to take a break
7 right now. We haven't heard from you much,
8 but I'll tell you what. When we come back at
9 one, we'd like to hear from you.

10 **MR. TOWNSEND (by Telephone):** One o'clock,
11 right?

12 **MR. STALLARD:** Yes.

13 (Whereupon, a lunch break was taken from
14 12:08 p.m. until 1:10 p.m.)

15 **MR. STALLARD:** Welcome back everyone. So
16 who do we have on the phone still? I heard
17 Tom I think and Allen.

18 **MR. MENARD (by Telephone):** Allen's here.

19 **MR. STALLARD:** Tom?

20 **MR. TOWNSEND (by Telephone):** Yeah, Tom's
21 here.

22 **MR. STALLARD:** And who was that we heard?

1 **MS. BRIDGES (by Telephone):** Sandy.

2 **MR. STALLARD:** Hi, Sandy, welcome.

3 **MS. BRIDGES (by Telephone):** I've been here
4 all along.

5 **MR. STALLARD:** And the closed caption
6 person?

7 **THE CAPTIONER (by Telephone):** (no response)

8 **MR. STALLARD:** Well, welcome back.

9 **THE CAPTIONER (by Telephone):** I'm here now.

10 **MR. STALLARD:** Okay, thank you.

11 **CONTINUE NRC REPORT DISCUSSION**

12 We're going to continue on in our
13 discussion about the recently released study,
14 or report I guess I should say. And since we
15 have time, and I think there might be more
16 questions, one of the things I would suggest
17 that we may want to consider is what would be
18 an appropriate venue for a response from the
19 CAP to that report.

20 Tom, did you have anything, since
21 we're just getting started and these folks are
22 getting their paperwork in order, did you have

1 something you wanted to contribute based on
2 the discussions thus far? You have to speak
3 up because for some reason we're not picking
4 you up too loudly here.

5 **MR. TOWNSEND (by Telephone):** Can you hear
6 me now?

7 **MR. STALLARD:** When you said can you hear me
8 now we did.

9 **MR. TOWNSEND (by Telephone):** I read the
10 initial --

11 **MR. STALLARD:** Speak up, Tom. Make believe
12 you're eating an ice cream cone and make that
13 the mike.

14 **MR. TOWNSEND (by Telephone):** The mike is --

15 **MR. STALLARD:** That's better. The closer
16 you get the better for us it is.

17 **MR. TOWNSEND (by Telephone):** It's almost in
18 my molars.

19 **MR. STALLARD:** That's a good place then.

20 **MR. ENSMINGER:** Don't worry, Tom. We can't
21 see you.

22 **MR. TOWNSEND (by Telephone):** I was reading

1 the Executive Summary and that stuff, and I
2 downloaded the rest of the stuff, and I
3 couldn't believe that the, it waffles on so
4 much stuff. The toxicity section was vague
5 and their conclusions were inconclusive. If
6 they couldn't come to a decision, what the
7 hell is this talking about?

8 **MR. STALLARD:** Tom, you're either falling
9 away from the microphone or you've swallowed
10 it or something.

11 **MR. TOWNSEND (by Telephone):** Well, maybe my
12 phone line --

13 **MR. STALLARD:** That's better. That's
14 better. Stick with us here.

15 **MR. TOWNSEND (by Telephone):** (Inaudible).
16 The review panel was supposed to have been
17 objective, but to have come up with a piece of
18 crap like that. I'm disappointed that they
19 just seemed to say, well, too bad we can't,
20 it's so confusing. It's so much conflicting
21 data, we're just not going to voice this
22 opinion. Just neutral, just let it drop. I

1 thought that was very disconcerting. I hope
2 that the CAP and ATSDR can respond in some
3 appropriate fashion ^ including squelching the
4 VA operation I know that. Any claims of the
5 VA are dead as a result. That's about all I
6 have to say at the moment.

7 **MR. STALLARD:** Thank you very much, Tom.

8 **MR. MENARD (by Telephone):** Christopher,
9 this is Allen. Can I speak to that?

10 **MR. STALLARD:** Yes, sure.

11 **MR. MENARD (by Telephone):** PCE and TCE are
12 right now listed as probable carcinogens.
13 Since benzene is a known carcinogen, I got a
14 letter from an epidemiologist that states that
15 PCE and TCE are going to soon be, if not in
16 the very near future, elevated to known
17 carcinogens. I mean, for ATSDR to halt their
18 water modeling would be insane. It would be
19 against the public health interest of all the
20 people here.

21 And also, when it comes to the VA,
22 Section 5107, Title 83 of the United States

1 Code, it said that the Secretary shall
2 administer the evidence, the medical evidence
3 that the VA Guide responds to with both
4 positive and negative regarding any scientific
5 data that they put forward.

6 And, Jeff, if there's any issue where
7 it's 50-50, it says that the claimant shall
8 get the benefit of the doubt. And I think
9 with a lot of these VA claims that we have
10 submitted, we have given the benefit of the --
11 I mean, we have given more evidence positive
12 than negative. And we should be given the
13 benefit of the doubt.

14 I think a lot of people have been
15 denied just because of an NRC report and
16 public health assessment which was thrown out
17 because now we've got benzene in there. I
18 mean, you know, it's really ridiculous.
19 There's really no way all these VA claims
20 should be denied. We have given more than
21 enough evidence that we were exposed to these
22 chemicals.

1 **MR. STALLARD:** All right, thank you, Allen.

2 Jerry.

3 **MR. ENSMINGER:** Dr. Sinks said that there
4 were, that they had received review comments
5 from all these folks that were involved in
6 Camp Lejeune efforts. I was wondering, Dr.
7 Aral, have you submitted your comments?

8 **DR. ARAL:** Yes, I did.

9 **MR. ENSMINGER:** Could we let Dr. Aral give
10 us his viewpoint on the --

11 **MR. STALLARD:** That would be Dr. Aral's
12 choice to do or not. I think --

13 **DR. ARAL:** I can give my summary. Okay,
14 thank you for the question, and I'm assuming
15 that the question is what I think about the
16 NRC report.

17 **MR. ENSMINGER:** Yes. Dr. Sinks said that
18 review comments had been submitted by, he
19 initially said that, and then when I asked
20 him, he kind of hedged on it, kind of
21 slithered away. But I wanted to ask you
22 specifically had you reviewed this report.

1 recognized that they basically came up with
2 good response to the Tarawa Terrace modeling
3 study. It says, the report says ATSDR has
4 done what could be done with the state-of-the-
5 art modeling tools that are available or at
6 their disposal. And then having said that
7 they end up that sentence with a but, and then
8 they start criticizing the effort.

9 If you look at the critiques of the
10 effort, I can categorize them in two groups.
11 The first one will be their lack of
12 understanding of the site conditions. The
13 second one is going to be the, their
14 misrepresentation of the findings of ATSDR.
15 In the first group there will be where I claim
16 that they have lack of understanding of the
17 site conditions, they are basically focusing
18 on theoretical aspects of this environmental
19 pollution.

20 They are making assumptions, for
21 example, of the source condition at the site.
22 They're stating that the source is PCE, and

1 it's a D-NAPL. Well, PCE in a drum is a D-
2 NAPL. But PCE when it is processed in a
3 cleaner operation and discharged into a septic
4 tank along with other things that go into the
5 septic tank may not be a D-NAPL. They are not
6 looking at the site-specific disposal
7 conditions of the PCE at the site.

8 The other site-specific concern I had
9 was that they criticized the ATSDR work and
10 bioremediation modeling. They say the
11 byproduct sequence that we have chosen in our
12 analysis is not correct, and they are right in
13 the sense that theoretically another path
14 should be followed. And I'm not going to go
15 into details of what those paths are. It's
16 technical.

17 What they are ignoring is that that
18 path is site specific. In other words we can
19 provide them with literature in which the path
20 that we have chosen based on the data that we
21 have at the site is the correct path. So
22 these two are in a sense forgivable errors

1 that I see in the first grouping that they
2 don't understand the site. It's forgivable
3 because they may not have enough time to look
4 at the data or characterize the site or
5 understand the site. That's not an excuse,
6 but understandable error.

7 The second group, as I have mentioned,
8 is mischaracterization or misrepresentation of
9 the data that is presented in the Tarawa
10 Terrace study, the reports of which is public
11 domain. In that grouping I think they are
12 picking up certain numbers claiming that they
13 represent different phases or different
14 pathway conditions, and they are criticizing
15 that this cannot be happening at the site.

16 On the contrary, ATSDR report clearly
17 states that what they have declared is not
18 what they have written. On the contrary, what
19 ATSDR says in their reports is exactly what
20 they want to conclude in terms of the
21 concentrations, and I'm talking about vapor
22 concentrations in the pore space, et cetera.

1 So these types of mischaracterizations
2 is going on in the report and several aspects
3 of the critique that they have prepared. So
4 this is not acceptable. If they read a
5 report, if they pick up a number, and if they
6 present it in a different way, that is not
7 scientific in my point of view. And that
8 should be put forward. And that should be
9 corrected by the NRC panel. And an addendum
10 should be placed by their panel to declare
11 these corrections eventually.

12 The other group, or in the second
13 group, I also recognize that they continuously
14 go back to the assumptions that they have made
15 in the first group, like the D-NAPL assumption
16 of the source. D-NAPL is just a certain
17 condition of a contaminant which behaves
18 different than a dissolve phase.

19 They made that assumption in the first
20 group and then they can come back and assume
21 that that assumption is correct and criticize
22 the rest of the ATSDR work based on that

1 assumption. That's not a scientific way of
2 analyzing a report as well.

3 If your first assumption is wrong,
4 then the rest of the document is wrong because
5 your document is based on that first
6 assumption. And as a scientist who worked on
7 this water modeling study for a long time,
8 that first assumption is wrong. So that
9 throws away the whole NRC report.

10 **MR. BYRON:** Dr. Aral, could you explain D-
11 NAPL a little for those who may be listening?

12 **DR. ARAL:** D-NAPL is a certain phase of
13 contamination which happens to be heavier than
14 water. If it is discharged into an aquatic
15 environment, it sinks to the bottom, in this
16 case, of the aquifer. In the dissolve phase,
17 which we have looked at ATSDR, the
18 concentrations occur at a very small magnitude
19 compared to its solubility, and the migration,
20 advection and diffusive transport of that is
21 totally different than the contaminant sinking
22 at the bottom of the aquifer. So that's the

1 non-technical summary of that.

2 **MR. BYRON:** So what we're talking about is a
3 chemical is not dissolved in water. It's...

4 **DR. ARAL:** Pure phase.

5 **MR. BYRON:** It's insoluble so it's just 100
6 percent chemical --

7 **DR. ARAL:** Right.

8 **MR. BYRON:** -- sitting as a puddle either on
9 top, below, it's heavier.

10 **DR. ARAL:** And it is doing its own thing
11 independent of the groundwater flow pattern
12 that may exist in the aquifer.

13 **MR. BYRON:** So what we're saying then is
14 since they were mixing the chemicals with the
15 water as the process of dry cleaning was going
16 on, then this D-NAPL state did not occur?

17 **DR. ARAL:** It shouldn't occur. It should be
18 in the dissolved phase then.

19 **MR. BYRON:** Thank you.

20 **MR. MASLIA:** I couldn't just be quiet here.
21 I think it's important to understand it's not
22 just conceptual. We published the data in

1 Chapter E of the report, and as Dr. Aral said,
2 the NRC used that data. The highest
3 concentration that they reported, the NRC
4 report that came out of our report, was 12,000
5 micrograms per liter. Now, that's a high
6 concentration, and it could be used to
7 identify the source, but the solubility of PCE
8 is over 200,000 micrograms. So you've got
9 less than six percent in solution. You cannot
10 use that to justify a D-NAPL.

11 **MR. BYRON:** Right.

12 **MR. MASLIA:** And what they did was use, as
13 Dr. Aral said, our data, that one data point
14 is what they based their whole concept on.

15 **MR. ENSMINGER:** Well, I noted throughout
16 this entire history of this water modeling
17 that the Department of the Navy kept hitting
18 on the fact that you didn't have enough actual
19 analytical stuff, historical stuff, to base an
20 accurate water model on. And then, gee,
21 amazing that the NRC report hits on the same
22 thing. Go figure. But your water model, once

1 you got to the point where you actually had
2 some data, did not your report for Tarawa
3 Terrace pretty much mirror what you actually
4 had data for?

5 **DR. ARAL:** Yes, as Morris indicated in
6 today's presentation and also earlier, the
7 predicted concentrations are within the range
8 that we are predicting at the measured points.
9 So these two are major scientifically not
10 acceptable conclusions of NRC report as far as
11 I can see.

12 Then there are other minor comments
13 one of which I would like to reference, and
14 that is again related to this vapor
15 concentration that we have reported to occur
16 and the pore space of the ground in the
17 elementary school area. And it is within the
18 range of ten to 100 micrograms per liter I
19 think.

20 In their report they are comparing
21 that number that we gave wrongly using the
22 concentrations not in the vapor phase, but the

1 water phase. We can correct that very easily
2 because our statements in the report clearly
3 identifies which is the water phase, which is
4 the vapor phase. They totally took that out
5 of context.

6 But what I have noticed is that they
7 are using a reference where they are picking
8 up a number like 50 milligrams per liter from
9 a study done in New York in a building where
10 cleaner operations were housed, and they have
11 measured the vapor concentrations in the
12 building. And they are stating that the
13 numbers that we had found in the pore space of
14 the ground should confirm to the measurements
15 made at a different year, at a different time,
16 in a building room environment where the
17 cleaner operations were housed.

18 I mean, when I saw this type of a
19 comparison or an expectation that those two
20 analyses or the measurement and the prediction
21 that we have in our case should conform to
22 each other is meaningless. It is ridiculous.

1 **MR. ENSMINGER:** Yeah, because this is coming
2 up from the ground.

3 **DR. ARAL:** This coming up from the ground,
4 it's coming up from a different source. The
5 processes are different. Why should it be the
6 same concentration in a building which is
7 measured in 1970s, I believe. This is not
8 scientific. This is absolutely ridiculous.

9 So in summary I have presented ATSDR
10 about 20 pages of report which is full of
11 these facts of discussions with references
12 included. And I am sure some time in the
13 future it will become public domain. I have
14 no problem for it to become public domain.

15 **MR. ENSMINGER:** Thank you.

16 **MR. BYRON:** Thank you.

17 **MR. STALLARD:** So where do we go from here?

18 **MR. ENSMINGER:** We need more questions.

19 **MR. STALLARD:** Need more questions? Any
20 potential strategies for, I mean, we heard Dr.
21 Clapp talk about a rebuttal, I guess, if you
22 will, that was compiled by five of you. Is

1 usually have to go from a LOAEL to a NOAEL or
2 what they call benchmark dose, but a LOAEL's
3 not that so you have to have an uncertainty
4 factor for that. You have an uncertainty
5 factor from going from a rodent to a human,
6 unless some of us are like rodents. Then you
7 have an uncertainty factor for differences
8 between people, susceptibility. So that's
9 three already. There are a couple other ones.
10 I have some notes here. Excuse me for one
11 second while I look at my notes here.

12 So I said human variability, human
13 differences, and then just basically any
14 limitations to the toxicological database
15 itself, or the study limitations itself. And
16 the report certainly talks about all the
17 limitations of the epi studies. And there are
18 limitations to animal studies, too. So
19 there's some uncertainty factor attached to
20 that.

21 Now, depending on how much uncertainty
22 for each one of those factors, they usually

1 use a factor of ten or a factor of three.

2 This is arbitrary, but that's what the

3 practice is at this point. So if you have,

4 say, three uncertainty factors, and you give

5 them all a weight of ten, you have 1,000.

6 Oftentimes you see uncertainty factors between

7 300 and 3,000. Usually 3,000 is the one they

8 stop, although in the footnote, Nan Shepherd

9 (ph) said it's justified to go beyond 3,000.

10 But I think EPA stops usually at 3,000.

11 So if you divide those LOAELS by 3,000

12 and compare them to the exposures in the

13 report, especially the TCE exposures -- first

14 of all, you never do this with cancer because

15 cancers you assume a no threshold model. Now,

16 that doesn't mean there isn't some kind of

17 threshold for cancers. We just don't know

18 what it is, so we assume a no threshold model.

19 Certainly, I've never seen anyone use

20 a LOAEL for cancers, LOAEL in rodent studies

21 for example. But I guess someone must have

22 done it, but it's not standard practice. So

1 what you do is forget about the cancer one
2 there and look at the other end points in
3 that. And I don't have the report in front of
4 me, but forget the end points. But if you
5 divide the LOAEL by 3,000, you'll see that
6 that value is lower than the exposure at
7 Hadnot Point for TCE.

8 **MR. ENSMINGER:** Can we make a suggestion?

9 **DR. BOVE:** Yeah.

10 **MR. ENSMINGER:** Why doesn't ATSDR take the
11 way that the LOAEL was used in this report --

12 **DR. BOVE:** First of all, first of all, let
13 me say one thing --

14 **MR. ENSMINGER:** -- and request from the EPA
15 what the EPA thinks about how this was used in
16 this report?

17 **DR. BOVE:** -- the first question is is this
18 a proper LOAEL. I mean, at least a proper --
19 see, there's a couple questions that I'm not,
20 I'm not a toxicologist, and I haven't looked
21 at it in depth. So a couple questions can be
22 raised.

1 First of all, is this the right animal
2 model? Second question could be is this the
3 right end point? Is this the most sensitive
4 end point? Third, was this the right study to
5 base the LOAEL on? There's a couple of
6 questions here.

7 So the first question is this LOAEL
8 that they came up with, is it a good number or
9 a poor number. Is it well justified or not?
10 And I don't have the answer to that. That
11 requires a lot of work to do that. We have
12 toxicologists here who do tox profiles, and
13 that's exactly, and they have consultants who
14 work on those tox profiles, and that's what
15 they do. So that's one thing that could be
16 done.

17 And then after you have a LOAEL you
18 feel comfortable with, actually, you'd like to
19 see if there's a NOAEL, but if you're stuck
20 with a LOAEL, then you have to apply the
21 proper uncertainty factors which they did not
22 do. So there's a couple steps here.

1 Right end point, right animal, right
2 study, proper definition of the LOAEL, proper
3 uncertainty factors. There's probably things
4 I'm missing, but there's a whole bunch of
5 steps here to evaluate that part of their
6 section. So that's as far as my expertise can
7 go here. As I said, I'm not a toxicologist.

8 **MR. ENSMINGER:** I would like ATSDR to submit
9 a list of questions to the EPA as to whether
10 or not the LOAEL was properly used for
11 reference in this report.

12 **DR. SINKS:** I've heard this anecdotally,
13 Jerry, but I've heard that EPA's already been
14 asked that question and have already made a
15 statement about it, but I don't know where
16 that's coming from. It's just an anecdotal
17 information I've heard. But I think it's fair
18 for us to ask them what their opinion is of
19 the assessment. I also think it's fair for
20 you to ask as the community or as
21 representatives for you to ask because you are

22 --

1 **MR. ENSMINGER:** You are our representative.

2 **DR. SINKS:** That's fair enough. I said as
3 an agency we can do that, but I think you
4 could, I think there are a number of people
5 who could be doing this, DOD, anyone.

6 **DR. BOVE:** The other thing is for the
7 cancers, I mean, you can do this yourself. If
8 you -- I know how you feel about the health
9 assessment -- but the formula for figuring out
10 what the cancer risk is is in there. There's
11 an exposure factor that's used to take into
12 account, an intermittent exposure, so you can
13 take that out if you don't think it was an
14 intermittent exposure.

15 And you can use the potency factors
16 from the EPA draft risk assessment for TCE
17 which gives you a range, and figure three
18 years' exposure roughly for the usual person
19 there or ten years' exposure or whatever
20 exposure you want, and take a look at the risk
21 ranges using the range that EPA has in that
22 draft risk assessment, for example. You could

1 do that or use the potency that was published
2 back in the '80s or whatever you want to use.
3 But you don't use a LOAEL.

4 And so what we did in the health
5 assessment was the right approach using a
6 cancer potency level and using that equation.
7 As I said, there are different potency levels
8 that are in draft form in the EPA's risk
9 assessment. One could use those, but the
10 committee decided to use the LOAEL.

11 **DR. SINKS:** I didn't read this section in
12 great detail -- but Dick, maybe you know this
13 -- but my impression is that they were very
14 clear they were not doing a quantitative risk
15 assessment, and they were not looking for
16 assigning a reference dose which is a level
17 considered to be safe over the course of the
18 exposure of a lifetime.

19 What they were looking for essentially
20 was a point of departure at which something
21 might be considered unsafe and what the weight
22 of evidence was that something like that

1 existed for certain outcomes which is why they
2 looked at a LOAEL which makes sense for non-
3 cancer outcomes and not the reference dose.

4 And this gray zone, which is the level
5 of the concentration that falls between the
6 reference dose and the LOAEL, which is a gray
7 zone because the reference dose is considered
8 to be a -- I don't want to use the word safe -
9 - but people use it as that. In other words,
10 you can be exposed to this level throughout
11 the course of a lifetime without, you know,
12 the vulnerable population, without an adverse
13 event.

14 And that level which may be 3,000
15 times higher or 30 times higher or 100 times
16 higher, which is the LOAEL, that gray zone is
17 kind of considered to be the uncertainty of if
18 there's an effect or not. And I think what
19 they did in this committee is they basically
20 were not looking at the uncertainty area.
21 They were looking at where is the evidence,
22 strongest evidence of an effect, not the

1 strongest evidence of no effect. So you can't
2 look at their report in terms of quantitative
3 risk assessment and think that they weren't
4 using it appropriately. I think they say it
5 pretty clearly in the report.

6 **DR. CLAPP:** It's a hazard assessment instead
7 of a risk assessment.

8 **MR. STALLARD:** Did that help clarify?

9 (no response)

10 **MR. STALLARD:** So the question that's before
11 us, I think, is as a CAP can we, can you
12 compile a response, I suppose, to this report.

13 **MR. ENSMINGER:** Well, it's being done.

14 **DR. SINKS:** And specifically, the comments
15 that you provided to me directly before the
16 meeting, but specifically, you know, your
17 feelings either as individuals or the CAP in
18 terms of how you think ATSDR should be
19 responding.

20 And I think something in writing would
21 be, I prefer to get something from you in
22 writing that you think through, and you really

1 assess, and you share with us. Because it is
2 part of what we're, I'm very interested to
3 make sure I have it. And I know there's a lot
4 of emotions involved with it. But having
5 something without the emotion but with more of
6 this is what we think you ought to do would
7 be, I'd look forward to it.

8 **MR. ENSMINGER:** I can't help but notice that
9 they keep referring to the uncertainty and
10 these proposed studies that have been worked
11 up, protocols have been written on. What are
12 the difficulties they keep citing in this NRC
13 report of carrying out those mortality studies
14 --

15 **DR. SINKS:** In terms of the specific studies
16 I think what they cite most of the ones we've
17 already cited. And, in fact, they actually --
18 commend might not be the right word -- but
19 they actually make a very clear statement that
20 we have identified all of the most important
21 limitations to doing the work, and we've
22 appropriately addressed them in our study

1 designs. They actually say that in their
2 summary.

3 **DR. BOVE:** They actually had a few that
4 aren't, we didn't cite as limitations because
5 they're not limitations. One was statistical
6 power, which is not a limitation, in the
7 mortality study. And they had the power
8 calculations as Dick mentioned in the
9 feasibility report.

10 The second thing they didn't have was
11 the power calculations for the health survey
12 because those power calculations are in a
13 draft protocol. And the NRC has a policy,
14 which you can agree with or not, not to review
15 stuff that's draft form. So fine, we didn't
16 have power calculations for the health survey
17 in the feasibility assessment. Instead what
18 we had were calculations on cancer incidence
19 and the power of a similar size population.

20 The power calculations actually look
21 good for the health survey as well though and
22 roughly not that different from the power

1 calculations in the mortality study or the
2 cancer incidence power calculations in the
3 feasibility assessment. So statistical power
4 as mentioned is one problem, and it really
5 isn't in either study. So that's one
6 limitation.

7 The second limitation they mentioned
8 about the mortality study was the difficulty
9 of ascertaining outcomes, which is not a
10 problem with the mortality study. Another
11 issue -- let's see if I can remember some of
12 the other issues they raised. Oh, yeah, well,
13 participation rate is a problem with the
14 health survey, and we did cite that. So
15 that's not one I want to, what I want to cite
16 is just the limitations they cite that aren't
17 limitations.

18 They said that it would be hard to
19 assemble the population for either study, and
20 that's not true. Well, let's put it this way.
21 I'm assuming that I'm going to get the, that
22 we're going to be able to get the DMDC data,

1 and it'll be of useful quality. It has been
2 useful for the notification, and there are the
3 proper identifiers in that database so it
4 should not be a problem. And we talked about
5 that. We, meaning we met with the NRC panel
6 committee, both went up there and over the
7 phone. We went up there twice and went over
8 these studies. So some of these things that
9 they claim were limitations are not.

10 Confirming cancers was the last one.
11 Now for the mortality study that's not a
12 problem. For the health survey it isn't going
13 to be a problem we believe because we -- and I
14 mentioned this when I talked to the committee
15 -- we've been getting the cooperation working
16 with our Division of Cancer Prevention at CDC
17 to work with all 50 state cancer registries.
18 We've also got the cooperation from the VA
19 cancer registry so that we don't think that
20 confirming the cancers is going to be a
21 problem.

22 Now non-cancers in the survey, we have

1 to get medical records to confirm that. There
2 may be some difficulties there. And so but
3 for cancers in either study, mortality or the
4 health survey, we don't expect to have any
5 problems at all. So those are some of the
6 limitations they raised that aren't.

7 Limitations that we all agree on are,
8 as Perri just pointed out, participation rate.
9 We're trying to address that. We're working
10 with the Marine Corps, for example, to get the
11 Commandant to sign a letter. We think that
12 that's more important than any incentive.
13 We're following a methodology we went over
14 with the panel. It's called the Dillman,
15 Modified Dillman Method, which is the state-
16 of-the-art for mail surveys and increasing
17 participation rates.

18 All that being said, we still could
19 have a problem with participation rate. Mail
20 surveys do have problems including the one
21 that the military's doing, the Millenium
22 Cohort had difficulties for example. As Tom

1 pointed out before, it's a crude, we have
2 crude tools here.

3 A mailed survey's a good approach.
4 It's certainly cost effective but does have
5 some limitations. If you tried to telephone
6 everyone, get their phone numbers and contact
7 people over the phone, that's even harder. So
8 there are all kinds of approaches in doing
9 surveys. All of them have their limitations
10 including a mailed survey.

11 In that sense we all agree the
12 participation rate's going to be an issue.
13 We're trying to address that, and we went over
14 that with the committee on how we're going to
15 address it.

16 **MR. BYRON:** Real quick, Frank, since
17 participation is a critical issue for the
18 health survey, is it possible to put the
19 health survey online to be filled out or is
20 there some issue with that, verification or
21 what?

22 **DR. BOVE:** We're going to have a web-based -

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

-

Go ahead. Why don't you say something?

MS. RUCKART: We actually discussed this in a previous meeting, but we're going to mail it out to people. And then as part of the invitation letter to participate in the survey, the plan is to provide a website address and a personal identification number so that if you prefer to fill it out online that you could. And then if we also have an e-mail address for somebody, they would get an e-mail invitation as well.

MR. BYRON: Great. The other question I had is we're talking about participation and I keep seeing this comment of a small group, that we're a small group. It's relationship, please explain to me what's a small group; what's a medium-size and what's a large. And then the other thing is transient and because we're all over the place. I see that in the other situation I spoke of earlier with Libby

1 I guess it is. How many people are involved
2 there?

3 **DR. BOVE:** There's a couple different issues
4 with the population. One is it's a transient
5 one. Or not transient. It's all over the
6 country. It's different than transient.

7 **MR. BYRON:** We're not --

8 **DR. BOVE:** Well, we're dispersed, and so
9 that's an issue of locating again the correct
10 mailing address. And we have a social
11 security number on most of the people and name
12 or date of birth. So there shouldn't be too
13 much problem with locating most people and
14 getting the correct address. Notification's
15 been going on and that's, as far as I know,
16 been pretty successful in getting letters to
17 people. Scott can correct me if I'm wrong,
18 but so that's been successful.

19 The problem with notification and the
20 problem with all these studies is one problem
21 is that we don't have information for a lot of
22 people before '75. We have some from the

1 ATSDR registry and people registering with the
2 Marine Corps, but who were before '75. But
3 the DMDC data, as we well know, is only going
4 back to '75. But we have enough information
5 for tracking so that's not really an issue.

6 Large versus small population, that's
7 a discussion of sample size and statistical
8 power. As I just said we have plenty of
9 statistical power. We assumed a 65 percent
10 participation rate. We actually included a
11 latency period for cancers as well and still
12 have a pretty good power for the cancers
13 anyway. So in particular the kidney cancer,
14 one that they raised for the mortality study
15 we can pick up an SMR with very high
16 statistical power, a 1.6 which for a mortality
17 study is pretty damn good in any study.

18 So they were carping about the age of
19 the cohort. The age of the cohort is young.
20 That's taken into account in the power
21 calculations, and it's stated in the
22 feasibility assessment exactly how the power

1 calculations were done. I also mentioned it
2 when I met with the committee.

3 It does take age in account. The
4 reason we still have statistical power with a
5 young cohort is because of the size of the
6 population. It's enormous. And it is true
7 though that it would probably be a good idea
8 to repeat a mortality study ten, 15 years from
9 now where the cohort's now a lot older.

10 But you have statistical power to look
11 at the cohort right now, and so that's why
12 we're doing that. If we didn't have decent
13 statistical power, that would have been one
14 mark against it and the feasibility would have
15 been mentioned in the feasibility assessment
16 we would have maybe made a different decision
17 than we did to go forward.

18 **DR. SINKS:** Let me just mention, I thought
19 the term transient when it was used in the
20 report was more of a reflection on exposure
21 and more a reflection, I thought it was used
22 more as a reflection on the population is

1 transient in terms of its duration of time at
2 Camp Lejeune. Some people come; some people
3 go. Some people are there for a year and a
4 half; some people are there for four years,
5 but they're not long term.

6 And they were, I think, using it in
7 the context of most of the human epidemiologic
8 studies which are done in the workplace are
9 looking at workers who work in a facility much
10 longer, you know, 30, 40 years. So I thought
11 that's how they were using that term.

12 **MR. ENSMINGER:** We were referred to by one
13 of the former Navy Environmental Health Center
14 people, Andrea Lunsford not to mention any
15 names, as a bunch of damn gypsies. That's
16 what she referred to us as.

17 **MR. STALLARD:** That would be transient.

18 **MR. ENSMINGER:** Has historical exposure
19 reconstruction been used in other epi studies
20 throughout history?

21 **DR. BOVE:** Tom?

22 **MR. TOWNSEND (by Telephone):** Yeah, how far

1 back does the cohort range in time?

2 **DR. BOVE:** From the Defense Manpower Data
3 Center personnel records, we can only identify
4 Marines at Camp Lejeune from June '75 onward.
5 Because before that they don't have the unit
6 code in the database.

7 **MR. TOWNSEND (by Telephone):** What are you
8 going to do with the people that have signed
9 up already that precede that date?

10 **DR. BOVE:** Well, we've been over this
11 before. The people we identify a priori,
12 people who have identified by using databases
13 such as the DMDC database or the ATSDR survey
14 from 1999-2002, they would be part of the
15 study. We will send surveys to everyone who
16 registers, however, but they'll be, the people
17 who register who are not part of these
18 databases, their surveys will be analyzed
19 separately because they weren't identified a
20 priori.

21 There's some feeling that selection
22 bias would be an issue with them more so than

1 with the people we identify from the records.
2 So that's -- we've been over this before. It
3 made sense from a scientific point of view to
4 do it that way.

5 **MR. TOWNSEND (by Telephone):** Okay.

6 **MR. STALLARD:** Go ahead, Morris.

7 **MR. MASLIA:** There've been a number of
8 studies. I guess one of the earliest ones
9 are, and these are published studies, at the
10 Hanford site where they look at radionuclides.
11 In Oak Ridge they've done dose reconstruction.
12 And, in fact, all the studies, especially
13 those, do express their results as we did in
14 terms of uncertainty in probabilistic bands.
15 Then there's been one at Tucson, Arizona, that
16 Sven Rodenbeck did that used analytical models
17 for fate and transport. We did, or myself,
18 Dr. Aral and other colleagues, did Toms River,
19 New Jersey. And, of course, in the current
20 one at Tarawa Terrace, and I'm sure there are
21 many others. But those have reference-able
22 publications, in other words documents, both

1 agency as well as published in the scientific
2 peer review scientific literature.

3 **MR. ENSMINGER:** Were they considered
4 successful in the studies?

5 **DR. SINKS:** Yes. And let me just say that
6 the Hanford Thyroid Dose Study is probably, I
7 think probably at least the biggest and most
8 influenced in a similar way by a few data
9 points and then a model and exercise of
10 historic dose reconstruction to try to figure
11 out what individual exposures may have been
12 for a large community of people who lived
13 surrounding the Hanford plant where winds took
14 radiation in certain levels.

15 So they did not have the actual data
16 available to them about individual exposures,
17 but they used this multimillion dollar dose
18 reconstruction to try to assess what
19 individuals might have been exposed to. And
20 then they did this large study to look for
21 thyroid cancer among that population.

22 So it was a very elegant study in

1 terms of that and in many ways is kind of the
2 same size of population. Now, it was focused
3 on only one cancer which was thyroid. I will
4 tell you though --

5 **MR. ENSMINGER:** Did it work?

6 **DR. SINKS:** Well, it depends on how you
7 define work. I think all of us were surprised
8 the study was negative. The study did not
9 find an excess of thyroid cancer even though
10 we expected to find one given the exposures
11 that had been modeled in this population. So
12 while kind of an analogy to this study size,
13 the dependence on the model to do historic
14 reconstruction is fairly similar, but the
15 actual ability to detect an increase wasn't.

16 Now, they also -- because thyroid
17 cancer is often not a fatal disease -- they
18 also did examinations, physical examinations
19 of I forget how many thousands of people to
20 determine whether they had thyroid nodules and
21 then to biopsy thyroid nodules to determine if
22 they had cancer. It was a congressionally-

1 mandated study. Congress gave us several
2 millions of dollars a year to do it.

3 It took seven, eight, nine years to
4 get it done. In many ways scope-wise and
5 interest-wise politically it's of interest.
6 Size-wise, it's, you know, having to use a few
7 data points to come up with what these
8 exposures were. So it was successful in
9 getting it completed, but if you used success
10 as were we able to connect thyroid cancer to
11 them, we actually came out being surprised
12 that we weren't able to connect it.

13 **DR. BOVE:** However, we were surprised we saw
14 an association with pre-term birth.

15 **MR. TOWNSEND (by Telephone):** Hey, Frank?

16 **DR. BOVE:** Pre-term birth. It's one of
17 these studies where what you expect doesn't
18 happen, and what you don't expect does. And
19 that happens a lot.

20 **MR. ENSMINGER:** Well, the point being is if
21 they did it there, why would anybody want to
22 stop it here?

1 **DR. SINKS:** Let me also say, and I kind of
2 mentioned this to you earlier, there was huge
3 value in doing the dose, the historic
4 reconstruction beyond the epi. We were able
5 to determine what we thought people were being
6 exposed to, and we were able to provide that
7 up on the web to individuals who were in that
8 community for them to determine their own
9 exposure.

10 And whether or not it proved to be
11 valuable in the epi study, it did prove to be
12 useful, I think, in evaluating what those
13 exposures were. What people were exposed to
14 from radioactive iodine, Iodine-131, for that
15 community.

16 **MR. BYRON:** Did that work at Fernald, Ohio,
17 also, Fernald plutonium plant?

18 **DR. SINKS:** So let me just tell you. Three
19 parts of CDC, NIOSH, ATSDR and the National
20 Center for Environmental Health, were all
21 provided resources from the Department of
22 Energy to evaluate the health effects from the

1 facilities involved in building bombs. And
2 what the National Center for Environmental
3 Health did primarily was, we did the historic
4 dose reconstructions for the communities
5 surrounding many of those plants.

6 We did not do all of them. We did
7 Hanford. We did Fernald. We did Savannah
8 River. Los Alamos we're actually still
9 working on. And all of that information was
10 very expensive, took a lot of time. We used
11 contractors rather than in-house people like
12 Morris to do a lot of the modeling type of
13 work. But only a few of them were used for
14 epi studies.

15 In fact, part of the historic
16 reconstruction was to assess whether or not we
17 thought the data was useful to do the epi
18 studies. And in several cases my recollection
19 is we decided it was not. And with Hanford we
20 were basically told you will do this study.
21 We may have decided to do that on our own
22 anyway.

1 But just because you do the historic
2 reconstruction part of it is this issue, are
3 you getting enough information so you can do
4 the epi study before you go ahead and run on
5 to do the epi study which you then decide
6 isn't worthwhile because the exposure
7 assessment isn't any good. So just to give
8 you a little bigger picture of that activity.

9 **MR. PARTAIN:** Dr. Sinks, let me jump back to
10 a basic question here. What were the reasons
11 why ATSDR decided to do the studies at Camp
12 Lejeune?

13 **DR. SINKS:** Well, I'm not -- let me punt,
14 Mike, asking me about the earlier studies
15 which I was not involved with.

16 **MR. PARTAIN:** Or all the studies.

17 **DR. SINKS:** Well, let me punt on that
18 because I wasn't involved in those decisions.
19 So I wasn't involved in the early decisions.
20 I wasn't involved in the current, I was
21 involved in we need to reassess the outcome
22 study because of the exposure information.

1 That's about when I became involved. But I
2 wasn't involved in why were we doing the
3 childhood cancer or the birth defects.

4 I have been involved very much in
5 terms of what else are we going to do. And I
6 could tell you why we -- and I said it to you
7 earlier -- when I first, when the National
8 Center for Environmental Health and ATSDR
9 became linked, and I first got sucked into the
10 vortex of Camp Lejeune, if you will, it was
11 really because of a lot of letters that we
12 were getting from people like yourselves, from
13 Congress, really concerned that we were only
14 looking under the lamplight, if you will.

15 You know, where the light was shining
16 at these outcomes of a few childhood cancers
17 and a few birth defects and why the heck
18 weren't we opening this up more broadly to
19 adult Marines and their relatives who lived on
20 base and would have been exposed. And the
21 reason, and when I first became involved, our
22 response -- and I haven't looked back at the

1 letter so this is just recollection -- but our
2 response is very consistent. The reason we're
3 not doing it is we made a decision not to do
4 it, and we're going to look at these studies,
5 and that's what we think is the most
6 important.

7 And when I met with congressional
8 staffers, and it was very clear that they were
9 not happy with that answer. And we decided to
10 open up the door, if you will, to get expert
11 opinion outside of our own opinion, which we
12 seem to be pretty entrenched with our opinion.
13 And we decided to have an expert panel,
14 individuals, not as a FACA Committee, but
15 experts who we wanted their advice given the
16 information we had about what else we should
17 do.

18 And it's a matter of record. That
19 report is on our web. Ken Cantor, who is a
20 very good cancer epidemiologist at the
21 National Cancer Institute, was the Chair of
22 that group. And they basically came back to

1 us, and they essentially said, look, you don't
2 really have the information we need to know
3 whether or not doing these studies are
4 feasible. But we think that at the least you
5 should determine if it is feasible to do an
6 all-causes mortality study, possibly a cancer
7 incidence study.

8 And they also made some comments about
9 other parts of the population who were at Camp
10 Lejeune who they thought we ought to include
11 in those studies. And because of that we then
12 didn't decide we would do the study. We said,
13 well, let's look at the feasibility because we
14 couldn't really provide that information to
15 the panel. And that's really the work that
16 Frank has had to do over the last couple years
17 of trying to sort through the information and
18 determine if it's feasible or not.

19 In Frank's opinion, and I think the
20 opinion of the agency, is that we have good
21 information about the feasibility. We know
22 there are uncertainties, so part of the

1 uncertainties is being able to place all these
2 people on the base in certain places and how
3 well we can do that. We have ways of
4 approaching that, and you know about that.
5 We've discussed that. But --

6 **DR. BOVE:** Let me say something.

7 **DR. SINKS:** Yeah, go ahead.

8 **DR. BOVE:** We certainly didn't think that we
9 were going to provide definitive results. But
10 we did think that we were going to --

11 **MR. ENSMINGER:** ^ ATSDR.

12 **DR. BOVE:** -- because no epi study provides
13 definitive results on its own, but we did
14 think we could make a substantial
15 contribution, and this is why. At the time
16 when we started the small for gestational age
17 study, there really was only one study out
18 there, a New Jersey study, which looked at
19 small for gestational age and these kind of
20 contaminants.

21 There was also only one study at the
22 time that looked at birth defects and these

1 contaminants besides the Tucson study which
2 had problems with interpretation in the way
3 they did the study. So any additional study
4 would have made a substantial contribution
5 just because there was nothing there, very
6 little out there, to begin with.

7 So then we were thinking back then
8 that here we have exposures, and it's not a ^
9 that we have exposures, drinking water. We
10 know people are exposed. It's not a question
11 of living around a landfill and not sure
12 people are exposed or not. But we have a
13 definite exposure route and high levels of
14 contamination, at least high compared to what
15 we've seen elsewhere.

16 And so it was a natural to want to
17 say, okay, let's follow up what New Jersey
18 found, or it wasn't totally clear from that
19 one study for TCE and PCE and small for
20 gestational age, but there was some indication
21 with carbon tetrachloride, for example. But
22 the question was can we see the same thing at

1 Camp Lejeune.

2 And so for childhood leukemia and the
3 particular birth defects, childhood leukemia
4 was the Woburn study. The Woburn study we
5 felt had to be followed up. It was a good
6 study, a pretty important finding. Can we see
7 it again with another population exposed to
8 now it's PCE instead of TCE, but then the
9 results of TCE, and we wanted to look at both.
10 So, again, that was the reason why we wanted
11 to do these studies. It was exposure and we
12 wanted to add to the scientific literature on
13 these end points.

14 And it wasn't easy to get approval for
15 these studies. But that was the point. Not,
16 again, to say definitively anything but to add
17 to the scientific literature because if we
18 find something that adds to the previous study
19 that found something, that maybe there's some
20 -- well, for birth defects there's not that
21 much in occupational literature to go on, but
22 there's some. Then you start building a body

1 of evidence. And the body of evidence might
2 become, if not definitive, at least convincing
3 or strong enough for people to take action.

4 So that's why we do, why we chose
5 those studies. Not because we thought that
6 Camp Lejeune was a problem with any of these
7 end points necessarily. We didn't know. We
8 didn't know. But we saw there were exposures,
9 and we wanted to look into it. We didn't
10 think, it wasn't that we didn't think that
11 adults weren't affected by this. That had
12 nothing to do with it, our decision. We
13 didn't know about that either.

14 At the time, if you look at the
15 drinking water literature and TCE and PCE, the
16 only study, I think the Cape Cod study maybe
17 the initial one might have been around at that
18 point. That's the only one that looked -- and
19 the New Jersey study. The New Jersey study
20 looked only at leukemia and non-Hodgkins
21 lymphoma. That was it.

22 So there wasn't -- so we thought -- we

1 could have also decided at that point, well,
2 since the New Jersey study and the Cape Cod
3 study saw adult things we should look at that,
4 too. But we thought we would try the
5 childhood thing. We also had support from the
6 health assessment which said go look at the
7 children, you know, and said adults weren't a
8 problem.

9 Even if we disagreed with that
10 internally, we still, it did say to go look at
11 children, so that was additional support. It
12 had nothing to do with where the light was.
13 There was no light. All we had was a few
14 studies out there. And it wasn't the low
15 hanging fruit either because in order to
16 identify childhood cancers and birth defects,
17 we had to do a survey.

18 If we had a situation like in New
19 Jersey where there was a birth defect registry
20 and a cancer registry and we could do these
21 studies without having to do a survey to find
22 out who has these diseases, and that's why the

1 New Jersey studies were possible. So that's
2 this history, the early history.

3 Then there were a number of us who
4 always thought that adults would be next
5 because we wanted to follow up what happened
6 in Cape Cod. We wanted to follow up the New
7 Jersey study. And again, because sometimes
8 you need an impetus from the outside to move
9 you, and Congress moved us. The expert panel
10 then said go check it out, and that's what we
11 did. So that's the history from my point of
12 view for being involved in most of those
13 steps.

14 **MR. ENSMINGER:** So now what we're getting
15 into, we've gotten deeply involved in these
16 feasibility studies, drafted the studies,
17 drafted the feasibility assessments, drafted
18 the protocols and now we want to take it and
19 club it in the head and kill it like a baby
20 seal, right?

21 **DR. SINKS:** Jerry, I haven't heard you say
22 you wanted to do that.

1 **MR. ENSMINGER:** I don't want to do that. I
2 think you do.

3 **DR. SINKS:** Jerry, we haven't said we wanted
4 to do that. Now what we do know is what the
5 National Academy wrote. And what we've said
6 to you is we're going to look at what the
7 National Academy wrote. I don't have to
8 repeat myself, but please don't misinterpret
9 or try to read between the lines in terms of
10 what our intentions are.

11 Our intentions are to do the best job
12 we can, do the best with the science, do the
13 best in terms of service because I think
14 there's a strong service component here that
15 is not the science issue. And that's the
16 service to the community who you represent.

17 **MR. PARTAIN:** The letters that you have from
18 families are indicative of a service
19 potential. And one thing, Dr. Sinks, and I'm
20 sorry to interrupt you, but one thing I've
21 heard in listening to you, you quoted
22 everything around, talked about around, talked

1 about the NRC, but I haven't heard what Dr.
2 Sinks thinks about the studies at Camp
3 Lejeune. What do you think as a leader of
4 ATSDR about these studies?

5 I mean, like I mentioned earlier, your
6 agency has been working on this for the past,
7 what, ten years. So, I mean, I'm sure you
8 have some type of feeling, clarity or
9 understanding of what is going on with Camp
10 Lejeune, and whether it is feasible, whether
11 or not it is worthwhile. And like I mentioned
12 earlier, you've talked up. You've quoted
13 different people, different agencies, but I
14 haven't heard Dr. Sinks. I'd like to hear
15 that now.

16 **DR. SINKS:** Well, I will, you've actually
17 been hearing me for a couple of years. I
18 don't think you've been here.

19 **MR. PARTAIN:** I've been here two years.

20 **DR. SINKS:** But you've been hearing me. And
21 I think one of the things I've been I think
22 fairly clear about is that one of my, that I'm

1 very interested in making sure we do the best
2 science that we can in doing those studies.
3 I'm also somewhat concerned that the
4 expectations of the studies are -- well,
5 Jerry, don't close me off yet -- that the
6 expectations of those studies may not be
7 totally on target in terms of how those
8 studies will be used.

9 That, in other words, and I've said
10 this before, that there is a dependency on our
11 studies to drive the policy on what should be
12 done for the people at Camp Lejeune. And that
13 knowing that our studies have limitations that
14 even in the best of worlds that our study is
15 done in the best way it can and it finds
16 certain affects, it's not going to be looking
17 at everything.

18 There are going to be things that are
19 not done in that study and that potential for
20 policy would be obscured if it was totally
21 dependent on our studies that we've done. And
22 I think I've been fairly clear about that.

1 And I think, Jerry, you agree with me
2 on that.

3 **MR. ENSMINGER:** Yeah.

4 **MR. PARTAIN:** You mentioned going to
5 Congress.

6 **DR. SINKS:** So I've been very clear about
7 that, and I've said that to Congress, and I've
8 said that to --

9 **MR. PARTAIN:** Let me ask you. You mentioned
10 that you wanted to hear from Congress. Well,
11 I mean, as a leader I would think if you
12 believe in the studies that can't be done,
13 that can't be executed, and they aren't
14 worthwhile, then you would be beating down the
15 door to Congress to tell them.

16 **DR. SINKS:** That's right. That's right.
17 Let me also say in terms of my personal
18 feeling about the studies, I'm concerned about
19 our power in the current studies with
20 childhood cancers and with birth outcomes. We
21 have fairly small numbers of cases for each of
22 them, and I'm concerned -- the birth defects

1 and the childhood cancers. And I'm concerned
2 that our study power may be inadequate there.
3 So that's a scientific issue, and Frank and I,
4 we've had this discussion. And that's
5 something as an expectation I would hope you
6 understand as well is that we are moving
7 forward.

8 We've obviously been given a very
9 green light by the Academy to move forward
10 with that and to do that. But I am concerned
11 about that study power, and they were very
12 correct in pointing out the study power for
13 those things are limited.

14 I'm not concerned about study power
15 for the other studies, for the future ones,
16 because I agree with Frank. They're quite
17 large. There are difficulties in getting them
18 done. It's not just a simple to say the
19 cancer incidence study can be done. And we've
20 always been concerned about the cancer
21 incidence study and our ability to do it
22 because it's really hard. It depends on the

1 health survey, and it's how well that gets
2 done.

3 And the other approach which Frank had
4 mentioned to you, which is the computer-based
5 way of doing cancer incidence, in other words
6 looking for cancers among hundreds of
7 thousands of people by going to 50 different
8 cancer registries to identify them has never
9 been done before. And so we're concerned
10 about that.

11 **MR. PARTAIN:** And so was going to the moon
12 in 1969.

13 **DR. SINKS:** Yeah, well, it's true. It's
14 amazing we haven't been back since, isn't it?

15 **MR. PARTAIN:** I mean, isn't a matter of
16 public health --

17 **DR. SINKS:** I'm not saying we can't do it.
18 You didn't hear me say we can't do it. You
19 heard me say I'm concerned about that. And my
20 own belief is on these other studies, the one
21 that I feel has the most likelihood for
22 success is the mortality study.

1 And maybe it's a bias because I've
2 done several mortality studies, and they've
3 been fairly successful. And they're, it's not
4 dependent on so many other things that fall
5 into line to get them done. It's dependent on
6 the ability to get the database information
7 and the infrastructure to do the mortality
8 studies exist.

9 I'm very supportive of us moving to do
10 the studies. I think they have utility in
11 terms of the information as long as the
12 expectations are understood that if we don't
13 find something, it doesn't mean there's
14 nothing there. And if we do find something,
15 it may or may not mean it's causal, and that's
16 the way our science works.

17 And so that's my personal feeling. I
18 think that, I'll just say, my other, I'll put
19 it in a category of concern, is this agency
20 has been involved in this in ten years, close
21 to ten years.

22 **MR. ENSMINGER:** No.

1 **DR. SINKS:** How many?

2 **MR. ENSMINGER:** Eighteen.

3 **DR. SINKS:** Eighteen?

4 **MR. ENSMINGER:** Since the public health
5 assessment started.

6 **DR. SINKS:** But in terms of doing the epi
7 and these studies, for ten years. And I like
8 to see things done as quickly as we can get
9 them done. And this has been, it's been a
10 very difficult road with a lot of twists and
11 turns in it that is probably very frustrating
12 to our staff and to you and to everybody.

13 And I'd like to see us get this, get
14 it done as well as we can -- before you jump
15 on me, Jerry -- because I think we ought to be
16 getting these things done. And I'm not
17 saying, I'm not saying that we've done a bad
18 job because we haven't gotten done sooner.
19 I'm just saying it's been very difficult, and
20 it's a little frustrating for us to take so
21 long to get something done that's so
22 meaningful to so many people.

1 **MR. ENSMINGER:** Well, one of the main
2 reasons why it took so long to get it done was
3 because you couldn't get the stuff you needed
4 to do your work, remember?

5 **MR. STALLARD:** That's a perfect segue --

6 **MR. ENSMINGER:** Hold on a second. I'm not
7 done yet. Now, you talk about the length of
8 time that it takes to get something done.
9 What is the length of time it's going to take
10 for us to see you come out with a statement
11 about this report?

12 **DR. SINKS:** So what did I say three times
13 this morning?

14 **MR. ENSMINGER:** Well --

15 **DR. SINKS:** I said a couple of weeks or a
16 couple of months. Is that what I said?

17 **MR. ENSMINGER:** You know, a couple months?

18 **DR. SINKS:** Is that what I said? A couple
19 of weeks to a couple of months.

20 **MR. ENSMINGER:** That's a huge window, Dr.
21 Sinks.

22 **DR. SINKS:** Okay, well, that's what I said.

1 **MR. ENSMINGER:** Why so long? You've had
2 this report since, what, the 11th of June?

3 **DR. SINKS:** Couple of weeks to a couple of
4 months.

5 **MR. ENSMINGER:** Maybe I can get somebody in
6 Congress to ask you for it.

7 **DR. SINKS:** That's fine.

8 **MR. BYRON:** Real quick comment, does the
9 Marine Corps or any branch of the service now
10 register cancer victims that are active duty
11 and mortality? Do they have a registry? And
12 if not, shouldn't that be brought to their
13 attention that they should start maintaining
14 one?

15 **MR. ENSMINGER:** What's the acronym for the
16 Navy's?

17 **MS. RUCKART:** Yeah, there is ACTUR, and the
18 DOD has one, and then the VA has one.

19 **DR. SINKS:** Active ^.

20 **MS. RUCKART:** Yeah, yeah, we've been working
21 with them. When we say we've been working
22 with the 50 state cancer registries and the

1 federal registries --

2 **MR. ENSMINGER:** I'm talking the military.

3 **MS. RUCKART:** Yes, yes, the DOD and the VA,
4 and we've been having conversations with them
5 just like with the 50 state cancer registries
6 as far as working with us to confirm any self-
7 reported cancers in the health survey. They
8 are supportive and --

9 **MR. BYRON:** Okay, I just want to make sure
10 it's for active duty, too, because Sovy's (ph)
11 there, because as I understand it right across
12 from the Beirut Memorial, there's a very large
13 cemetery for infants, and it sounds like an
14 awful lot of them were Marine Corps children.
15 And we could probably go right through that
16 cemetery and write down names.

17 **DR. BOVE:** Yeah, but you see the problem is
18 that none of these registries that the Defense
19 Department has go back far enough.

20 **MR. BYRON:** Right, right, I'm talking about
21 --

22 **DR. BOVE:** But if they did --

1 **MR. BYRON:** I mean, today have they
2 corrected that problem? Is there a registry
3 for them now? It sounds like there is. I
4 mean, you can confirm that one hundred
5 percent?

6 **MS. SIMMONS:** There's medical records, but I
7 don't think --

8 **MR. BYRON:** Then you guys should make a
9 recommendation that they make a registry.

10 **MR. ENSMINGER:** You have a tumor registry.

11 **DR. BOVE:** Wait, wait, there's a tumor
12 registry.

13 **MS. SIMMONS:** Yeah, there's a tumor
14 registry.

15 **DR. BOVE:** Yeah, the cancer --

16 **MR. BYRON:** But if I have a child, and it
17 dies two weeks after being born on base, is
18 there a registry?

19 **DR. BOVE:** Would you be captured by the DOD
20 registry? I'm not sure.

21 **MR. BYRON:** Well then, somebody needs to
22 make a recommendation --

1 **DR. BOVE:** It depends on where they're seen
2 and --

3 **MR. BYRON:** -- for that to happen.

4 **DR. BOVE:** -- I think that has something to
5 do with it.

6 **DR. SINKS:** Jeff --

7 **MS. SIMMONS:** I'll look that up and let you
8 know. I'm not sure.

9 **DR. SINKS:** Jeff, let me, you know, we throw
10 around words that have different meanings for
11 different people, and so when you speak of a
12 cancer registry, let me just say, a cancer
13 registry specifically is a dedicated place
14 where laboratories or physicians report an
15 outcome that is usually confirmed by pathology
16 as a cancer. And they are totally dedicated
17 usually to just cancer.

18 So every state has a cancer registry.
19 It doesn't exist in the HMO. It doesn't exist
20 in Kaiser Permanente or your doctor. It's
21 when your doctor sends a pathology slide to a
22 pathologist, and it comes back as positive for

1 cancer, God forbid, that report goes to the
2 cancer registry and the cancer registry
3 maintains it.

4 **MR. ENSMINGER:** The VA has one.

5 **DR. SINKS:** Now, I think the VA has one.
6 Now, when you're talking about a cancer
7 registry for the active military, the reality
8 is that most of the people in the active
9 military are fairly young. So most of the
10 cancers, cancer is really an old age disease
11 for the most part. And we, you know, there
12 are childhood cancers and unfortunately.

13 But for the most part the burden of
14 cancer is going to be in the 60s or 70s or 80s
15 or 90s, and it's really going to be most of
16 the, most military people who develop cancer
17 will develop it after they're no longer in the
18 military. So the military wouldn't even have
19 them. It would be in the VA.

20 Now, the utility of the military
21 having a cancer registry kind of depends on,
22 it's not clear to me what the utility would

1 be. There may be some, but you're really
2 talking about a much smaller number of cases.
3 And you really want to follow people up their
4 lifetime past some exposure.

5 Also, a number of the people who were
6 in the military who developed cancer are going
7 to end up in the state cancer registries
8 because they deal usually with the whole
9 population, a defined population, either in an
10 area of the state or the whole state.

11 **MR. BYRON:** When I said cancer, and I meant
12 both, mortality and cancer. When a child
13 dies, is it registered with the military in a
14 registry that says this year 20 children at
15 Camp Lejeune passed away. We don't know why.
16 We don't care. They passed away. That's all
17 there is to it.

18 **DR. SINKS:** I would say unless somebody is
19 looking for the infant mortality history of,
20 you know, infant mortality among the infants
21 born to children in active military, there's
22 no registry. Somebody would probably have to

1 actively be looking for it.

2 I will tell you that that is usually
3 one of the public health indicators that we
4 use to measure the health of the population.
5 Infant mortality is commonly used to compare
6 this country to another country and state-to-
7 state and whites-to-blacks and things like
8 that.

9 **DR. BOVE:** Yeah, the states would have that
10 information. One of the things just quickly -
11 -

12 **MR. BYRON:** It doesn't. One of the problems
13 with the states having it, it doesn't point to
14 there's a problem at Camp Lejeune or there's a
15 problem at Benning, you know.

16 **DR. BOVE:** No, that's true. And you have to
17 do special studies to pick that up. That's
18 right. That's right.

19 **MR. BYRON:** Well, you shouldn't have to do
20 too much of a study if there's a registry and
21 you come up and you say there's 200 children
22 died this year.

1 **DR. BOVE:** They don't have it. That's what
2 I'm saying.

3 **MR. BYRON:** And the fact that you recommend
4 they do that.

5 **DR. BOVE:** The other thing is that the VA
6 often doesn't report its cancers to the state,
7 and there's been a problem there. That's why
8 we're working with both the VA and the states.

9 One quick thing though when I said we
10 were working with all 50 states, I want to
11 make a distinction here between a data linkage
12 cancer incidence study and a cancer incidence
13 study based on the health survey. What I'm
14 talking about, because we put aside the data
15 linkage study.

16 And the reason we have is because it
17 really needs national legislation. There
18 needs to be a federal cancer registry, a
19 nationwide cancer registry, to really do that
20 study properly, and it's something we'd like
21 to see happen.

22 But what we're focused on is the

1 health survey, and we have the cooperation of
2 all 50 states so far in confirming the cancers
3 that are self reported in that survey. So
4 that's what I'm talking about when I say we
5 have the cooperation. We would not have 50
6 state cooperation for the data linkage partly
7 because some of the states cannot do so. They
8 have in their state law that they cannot be
9 part of such a thing. So that's why I said it
10 will require national legislation.

11 When the VA, they're doing the Gulf
12 War cancer incidence study, they've been
13 having trouble lining up cancer registries for
14 this kind of data linkage. They've been able
15 to line up some, but for example, New Jersey
16 refused to participate. Other states have
17 said that they can't participate because of
18 the laws in their state.

19 So for a data linkage study that's
20 similar to how we do a mortality study, with a
21 mortality study we send the social security
22 numbers and date of birth to a national

1 database or databases and do the data linkage.
2 We can do that for mortality. We cannot do
3 that for cancer incidence until there's a
4 national registry, and that requires federal
5 law, I would think.

6 **MR. BYRON:** That could be a recommendation
7 from us.

8 **DR. BOVE:** Well, I think --
9 Who's this?

10 **MR. TOWNSEND (by Telephone):** This is Tom.
11 Can I get in a minute?

12 **DR. BOVE:** Sure.

13 **MR. TOWNSEND (by Telephone):** Right now?

14 **MR. STALLARD:** Right now.

15 **MR. TOWNSEND (by Telephone):** Two things. I
16 live about 120 miles downwind from Hanford.
17 That has been going on as long as I've lived
18 here, and there's a hell of a lot of people.
19 There's down-winders in Idaho and there's
20 down-wind types east of Hanford in Idaho and
21 Washington. There's a hell of a lot of
22 mortality out here that seems to have been

1 swept under the rug.

2 Hanford spends a lot of money on
3 restoration projects for cleaning the place
4 up, but it left a trail of destruction that
5 seems to be overlooked. That's water over the
6 dam at this point. I'm very concerned that
7 the National Academy of Science's report for
8 what it is, has been an absolute stopper on
9 the effects on the application of veterans'
10 claims with the Department of Veterans
11 Affairs. Now, they have just put a stopper in
12 everything, and this is the best thing that
13 came down the line for them because they don't
14 have to deal with us.

15 I'm on an appeal with the Board of
16 Veterans Appeals. I'm tired of fighting with
17 the damn VA. I fought with them for 35 years
18 now, and they have, they just tell you to
19 stick it up your butt as far as the Camp
20 Lejeune has anything to do with it. This has
21 got to be resolved.

22 **MR. STALLARD:** Tom, this is Christopher.

1 Are you saying that since the release of that
2 report on, what, the 11th of June was it?

3 **MR. TOWNSEND (by Telephone):** Yes.

4 **MR. STALLARD:** You have been denied and they
5 cited that report, that committee report?

6 **MR. TOWNSEND (by Telephone):** Hell, no.
7 They'll run you around 'til you quit. No, I
8 just said screw you guys. I just filed an
9 appeal to go to the Board of Veterans Affairs.
10 I don't want to deal with those guys any more.

11 **MR. STALLARD:** Okay, Tom, thanks very much.

12 Listen, I have a question I need
13 clarity on. We heard Dr. Clapp say that the
14 committee tried to disentangle the science
15 from the potential for policy implications,
16 something in there, right? We heard Dr. Sinks
17 say that in the report there's this
18 opportunity about policy.

19 Can we talk a little bit more about
20 that because it seems to have just over my
21 head anyway. Who's going to be looking at the
22 policy? What is that policy, and who are the

1 proponents who should be addressing it?

2 **DR. SINKS:** Well, let me just say I think it
3 goes directly to what Tom is bringing up quite
4 frankly, which is the committee very clearly
5 stated that there are policy issues that must
6 be dealt with in terms of these exposures, and
7 the Department of Defense should move forward
8 and not await the science that ATSDR was doing
9 to make those determinations. That's fine.

10 The difficulty in what they've also
11 done is their tox assessment and the
12 categories that they've put out there in terms
13 of how they've ranked the associations between
14 certain conditions which I think actually will
15 make it a little more difficult for the policy
16 decisions to get made.

17 So there is both an opportunity and a
18 difficulty in what they've done. The
19 opportunity is to address through a different
20 venue than an individual like Tom having to go
21 to the VA and fight his case and put in a very
22 direct statement to the DOD saying you need to

1 be addressing this and coming up with a
2 policy.

3 (dog barking in background)

4 Tom, you should feed that dog.

5 **MR. STALLARD:** It's barking up the wrong
6 tree.

7 **DR. SINKS:** It's barking up the wrong tree.
8 But the other part of it is the adequacy of
9 the tox assessment that's done in there that I
10 think will just make it more complex for the
11 Department of Defense. I don't know. I think
12 it will leave people with a negative taste,
13 and I think we can --

14 **MR. PARTAIN:** In layman's terms, Dr. Sinks,
15 the report is a trump card because it is
16 being, you know, one hand says, oh, don't
17 worry about anything in the future; i.e.,
18 ATSDR or any other studies. You need to take
19 care of this now.

20 But yet on the same hand you may have
21 been exposed, but it really didn't do anything
22 to you. It's a trump card to stop you from

1 what you're doing at ATSDR and suddenly now
2 based on what that report says. And that's
3 what's going on with that report. And the,
4 you know, we were talking about the LOAELs and
5 using the LOAELs earlier. I mean, it doesn't
6 make sense.

7 **MR. STALLARD:** Well, my question is then in
8 this thoughtful and comprehensive response
9 that will be forthcoming in three to whatever
10 months, will we address, will ATSDR be able to
11 address the conundrum, the conflict, in those
12 policy issues?

13 **DR. SINKS:** I think in terms of the two-to-
14 six, two weeks to two months statement that I
15 have given Jerry, I think our primary issue
16 there is going to be focusing on what it's
17 saying about our studies, not what it's saying
18 to the DOD about what the DOD ought to do. At
19 the same time I think that we are willing to
20 play a role in helping to assist DOD in
21 looking at that. And I would suggest that the
22 folks sitting over here have a role to play in

1 that, too.

2 And what I was saying earlier was
3 there is a very strong message in there to be
4 moving ahead on the policy. Now, it doesn't
5 say how to do it. It doesn't say what to do,
6 but it would seem to me that the more people
7 can work together cohesively, even if they sit
8 on different sides of the table to decide how
9 we're going to do that or what role there is,
10 there's opportunity to do that.

11 Now, it may be that people aren't
12 comfortable, you know, I think I heard you
13 say, Jerry or Jeff, that maybe you weren't
14 ready to come up with those kinds of things
15 because there are also parts of the report
16 that, I think, you know, their weight of
17 evidence analysis, you know, you don't
18 necessarily want to grab a hold of that. But
19 I think there ought to be a -- and maybe this
20 is just my being naïve and an idealist but
21 which I'm probably a little of both -- but I
22 think there are vested parties in this whole

1 issue that everybody wants to see a win.

2 I would like to believe, let me say
3 this, that you can have a lose-lose or a win-
4 win out of the circumstance having to do with
5 Camp Lejeune. And the question is how do we
6 all get together and identify the win-win and
7 make it happen. So that's my kind of view,
8 and it may be I'm an idealist and I'm naïve,
9 but I saw that statement as an opportunity.

10 I also saw limitations in the report
11 that troubled me, but I think there are things
12 to grab a hold of. The thing I always was
13 worried about, and I've said it several times
14 today, and I've said it before, is a
15 dependency on ATSDR to do science which would
16 be the only thing driving the policy, and that
17 our science I didn't feel would be sharp
18 enough to drive the policy as far as it needs
19 to go.

20 **MR. STALLARD:** One practical step toward
21 win-win would be something we haven't resolved
22 and that is the engagement with the VA. And I

1 think collectively something has to happen. I
2 don't know what that is to engage them in this
3 process.

4 **DR. SINKS:** You know, I presume before we
5 have another discussion while I was in the
6 room about it, but, you know, I think we were
7 proactive in sending the letter. It was very
8 direct to them. We then followed up last
9 month, probably a little too late, but in June
10 I think with a second letter saying, hey,
11 please come. We haven't heard back from them
12 on that.

13 But it would be very nice to have the
14 VA or have somebody who was very interested in
15 this situation, not disinterested, but
16 interested in this situation and seeing an
17 opportunity from the VA being involved. How
18 we get that done I don't know. But I think
19 that is part of the policy issue that needs to
20 be resolved. And I honestly have no clue how
21 the VA has been, you know, if they even see
22 themselves as part of the message that came

1 from this report. I don't know.

2 **MR. BYRON:** They do not see themselves as
3 part of this problem because they've not been
4 directed by Armed Services Committees to get
5 involved. So the first thing that needs to
6 happen is somebody needs to go to the Armed
7 Services Committee, Senate and the House, and
8 say, look, we've got all these Marines here
9 that have addressed this, and you're not even
10 participating.

11 I mean, these are, me and Jerry are
12 both veterans. Denita's a veteran, and she's
13 passing now. And these people aren't even
14 involved? I can't believe that. Where's the
15 EPA? They can make decisions on Libby,
16 Montana, but not Camp Lejeune? Where's
17 Secretary Sebelius? I don't see her here.
18 I'm infuriated if you don't mind. Where's the
19 CDC Director? Where are they stepping out to
20 make a statement that this needs to go
21 further?

22 Or maybe it doesn't need to go

1 further, but the point is is they've made no
2 comments. And you're right. It's kind of,
3 for me to bring up certain issues on what to
4 look at in these studies might be detrimental
5 to getting more done later. So as a group we
6 have to decide what we want to bring up to you
7 so I can't give you any answers today on the
8 NRC report, and I don't think you were
9 expecting them today, were you? I mean as far
10 as our comments, you know, to two weeks to two
11 months.

12 (multiple speakers simultaneously speaking)

13 **DR. SINKS:** Jeff, let me just answer this to
14 you. You're not hearing me -- I'm here as me.
15 I'm the Deputy Director of the two centers.
16 You're hearing me. I'm not putting out a
17 policy a week or I never have done that with
18 you guys. I've always tried to be, this is
19 just another guy sitting across the table from
20 you. I can tell you I have brought up this
21 issue to you. I've brought up this issue to
22 DOD. I've brought this issue up to the Senate

1 Arms Forces, not about the VA but the other
2 issue.

3 **MR. ENSMINGER:** What's your boss think?

4 **DR. SINKS:** I have brought this up to Howie.
5 Now, I can tell you I as an individual will
6 continue to bring this up. And I am
7 encouraging --

8 **MR. ENSMINGER:** What's he thinking?

9 **DR. SINKS:** -- let me -- I don't want to
10 speak for Howie. I'll speak for myself. And
11 I'm telling you. I'm sitting here encouraging
12 you to reach across the table to them. The
13 ones you keep saying are they and say what can
14 we do together to start looking at this issue
15 about what to do as a way to do it.

16 Now maybe that can't happen. I don't
17 know.

18 **MR. ENSMINGER:** Well, when I go to talk to
19 them, I'm told they can't speak to me.
20 They've been ordered not to speak to me.

21 **DR. SINKS:** It may be, but I will say the
22 same thing to the Department of Defense in

1 terms of, hey, there's an opportunity here for
2 all of us to be sitting down here in terms of
3 this service piece, not the science piece but
4 the service piece.

5 And I think the Academy has half done
6 it. So that's just me. Now, I can't speak
7 for Dr. Freidman. I can't tell you I can go
8 tell Dr. Freidman he ought to go do this. But
9 I can tell you how I feel I ought to do it,
10 and I will do it.

11 **MS. RUCKART:** There's been a request earlier
12 when we were discussing our outreach to the VA
13 if we could share the two e-mails and letter
14 we sent them requesting they participate in
15 some form with the CAP.

16 **DR. SINKS:** E-mails ^ letter.

17 **MS. RUCKART:** Right, well before we asked
18 you to send a letter, Christopher Stallard e-
19 mailed his contact there and Frank also e-
20 mailed that staff person who's different than
21 Rear Admiral Dunne, and then when we --

22 **DR. BOVE:** He has an official letter from

1 the agency that I think is more appropriate.

2 **MR. STALLARD:** It would be. That was an
3 informal, former CDC person.

4 **DR. BOVE:** Informal channel, but the formal
5 channel you should see.

6 **MR. ENSMINGER:** Dr. Clapp was putting
7 together a format when we first started this
8 about a response. I would like to hear more
9 from Dr. Clapp on that.

10 **DR. CLAPP:** Why don't I draft at least a
11 first stab at this and send it around to the
12 CAP? I can do that in the next week.

13 **MR. BYRON:** And that might go miles to
14 getting the VA involved, too, as far as I
15 know. I don't know what your comments are
16 going to say, but I think I have an idea.

17 **DR. CLAPP:** Yeah, we might try to put in
18 something about compensation. That would
19 definitely involve the VA.

20 **MR. STALLARD:** And that would include the
21 comments made earlier on the water component,
22 correct?

1 **DR. CLAPP:** Yes.

2 **MR. STALLARD:** And that could --

3 **MR. TOWNSEND (by Telephone):** Chris.

4 **MR. STALLARD:** Wait a minute, just a minute,
5 Tom.

6 And if that were to be delivered to
7 ATSDR in a timely fashion it could be
8 considered in their own deliberations I would
9 suspect.

10 Yes, Tom.

11 **MR. TOWNSEND (by Telephone):** Chris, I wrote
12 a letter to Admiral Dunne about three days
13 after Dr. Sinks. And the Undersecretary for
14 Benefits, and they are surrounded by a coterie
15 of no-men, no-women. They don't know what.
16 They're very upset with me because I have the
17 telephone number and fax numbers. I just
18 can't understand why a government agency that
19 has a responsibility to the public is so
20 unresponsive. They won't answer anything.

21 I have been despondent over what's
22 going on. I'm depressed about Denita. I

1 haven't been very active, but I will get back
2 on their butts. They're using this as just
3 another reason to stay the hell away. They
4 don't want, the VA I've dealt with for 35
5 years. I have an 80-50 disability, and you
6 have to fight them every bloody foot of the
7 way, and this is just one more problem that
8 they don't want to face. This guy, the new
9 admiral, he retires and then he takes over as
10 Assistant Undersecretary for Benefits, and do
11 you think I can get an answer out of anybody?
12 Hell, no. They just don't respond. Just go
13 away and die.

14 **MR. STALLARD:** Thank you, Tom.

15 **MR. TOWNSEND (by Telephone):** They're not
16 terribly responsive. I will get off my butt
17 and write them another letter and see what
18 happens. I'm not terribly helpful.

19 **MR. BYRON:** I think we need to get the
20 scientists' responses and take them to the VA
21 and to the Armed Services Committee. I assume
22 they're going to be sent there. We'll need to

1 demand action even if we have to stand on
2 their doorstep in Washington.

3 **MR. STALLARD:** We're about ready to wrap up.
4 Thank you all for very robust dialogue this
5 session.

6 Did you want to add anything, Perri,
7 in terms of DMDC data and any other
8 outstanding issues we have not yet covered?

9 **UPDATES ON HEALTH SURVEY AND MORTALITY STUDY**

10 **MS. RUCKART:** Well, there's really not much
11 to say about that. Scott told me he is
12 developing a spreadsheet to send to the DMDC
13 and try to outline what is needed. And we're
14 hoping to try to schedule a conference call
15 between the DMDC, us and the Marine Corps
16 soon, either later this week or next week.

17 As far as any other issues I think
18 there's really nothing to report and we're
19 going to see how some of these other things
20 unfold.

21 **MR. PARTAIN:** Scott, is there any way you
22 can redo the thing with the blue?

1 **MR. WILLIAMS:** It should have had it on the
2 computer printout.

3 **MR. PARTAIN:** Is there any way you can redo
4 it so it can be read? I can't read the light
5 blue.

6 **MR. WILLIAMS:** I might can e-mail the file
7 to somebody here, and they could print it.

8 **MR. PARTAIN:** You could send it to Perri,
9 and she could --

10 **MS. RUCKART:** No, why don't you e-mail it to
11 me, and I will e-mail it?

12 **MR. PARTAIN:** I appreciate it.

13 **MR. BYRON:** Why don't you e-mail it to
14 Congressman Boehner since he thinks that this
15 is only a North Carolina issue and there's
16 5,000 Ohio residents because he will be
17 hearing from me.

18 **WRAP-UP**

19 **MR. STALLARD:** Do we need to talk about
20 potential next dates/timeframe and what we
21 would hope to achieve? Three months from now
22 what would that be? October. So we'll look

1 at October.

2 **MR. ENSMINGER:** Do you think we'll have the
3 comments from ATSDR?

4 **MR. STALLARD:** Well, I think that's what
5 we're going to do right now.

6 **DR. SINKS:** Is three months more or less
7 than two months, Jerry? I'm trying to
8 remember.

9 **MR. STALLARD:** But anyway, what we want to,
10 let's just put out our expectations. By that
11 time we would be able to have the response, an
12 ATSDR response.

13 Dr. Sinks, is that copasetic to have
14 the expectation that within, by the October
15 timeframe we could have the ATSDR response?

16 **DR. SINKS:** I think what my intention would
17 be is that hopefully we'd get this response
18 done, and we present it to DOD, Congress and
19 the CAP at the same time. And I wouldn't wait
20 for a CAP meeting to do it. If we've got it
21 in four weeks, we'll do it then. We'll do it
22 by phone call. If we've got it in nine weeks,

1 whatever, we won't wait for a CAP meeting to
2 provide you the response.

3 **MR. STALLARD:** Thank you.

4 Outstanding is VA engagement. Between
5 now and then, Dr. Clapp, you're going to have,
6 by next week you said, probably a first draft.

7 **DR. CLAPP:** Yes.

8 **MR. STALLARD:** CAP response. What else
9 would be an appropriate agenda item or Perri
10 will you coordinate that?

11 **DR. BOVE:** There'll be other things to add
12 to the agenda I'm sure.

13 **MR. STALLARD:** Any other questions from the
14 CAP members?

15 (no response)

16 **MR. STALLARD:** I'd like to thank you all for
17 being here today. Please take a moment to
18 reflect and think about Denita and her family
19 and this time of passing for her.

20 **MR. BYRON:** Also, Sandy Bridges' mother has
21 recently passed away, and that's why she
22 wasn't able to make the meeting.

1 **MR. STALLARD:** Thank you, Jeff.

2 Safe journeys to all. Thank you very
3 much.

4 **MR. ENSMINGER:** Tom, I don't know if you and
5 Allen and Sandy were on the phone when I made
6 this announcement first thing this morning,
7 but I got a call late last evening from
8 Denita's son, and she was placed in a hospice
9 care center late yesterday afternoon, and
10 they've given her 72 hours.

11 **MR. TOWNSEND (by Telephone):** I thought she
12 was in hospice. I think Sandy told me that.

13 **MR. STALLARD:** Thank you, Tom. Thank you,
14 Sandy.

15 (Whereupon, the meeting was adjourned at 3:00 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of July 8, 2009; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 19th day of August, 2009.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC
CERTIFIED MERIT COURT REPORTER
CERTIFICATE NUMBER: A-2102

2